

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
FOOD AND DRUG ADMINISTRATION

X - - - - - X  
:  
:  
:  
:  
:  
:  
X - - - - - X

DRUG ABUSE  
ADVISORY COMMITTEE

Wednesday, June 22, 1983

ADVISORY COMMITTEE  
1983 JUN 27 PM 2:42

Building A, Lecture Room C  
Uniformed Services University  
of the Health Sciences  
4301 Jones Bridge Road  
Bethesda, Maryland

This document has not been edited on  
the basis of comments received for the  
purpose of improving the quality of the  
document. It is the responsibility of the  
author to ensure that the document is  
correct and complete.

*Baker, James E. Burkes Reporting, Inc.*  
202 347-8803

2024952121

P A R T I C I P A N T S

Sidney Cohen, M.D., Chairperson

Reese T. Jones, M.D.

Jo Ann Nait, Ph.D.

Donald W. Goodwin, M.D.

Robert L. Balster, Ph.D.

Don Phillips, P.D., M.P.A.

Stanley Wallenstein, Ph.D.

Donald R. Jasinski, M.D.

Mitchell B. Balter, Ph.D.

Steven M. Paul, M.D.

FDA STAFF:

Frederick J. Abramek, M.S., Executive Secretary

Paul Leber, M.D.

Edward Tocus, M.D.

2024952122

C O N T E N T S

	<u>Page</u>
WELCOME AND INTRODUCTIONS	1
OPEN PUBLIC HEARING	20
<u>PRESENTATION OF MERRELL-DOW, MR. OHYE</u>	22
PRESENTATION OF DR. MARTZ	22
PRESENTATION OF DR. McNABB	31
PRESENTATION OF DR. RUSSELL	38
PRESENTATION OF DR. CHRISTEN	46
PRESENTATION OF DR. POWELL	53
PRESENTATION OF DR. MARTZ	57
<u>PRESENTATION OF FDA, DR. VOCCI</u>	73
PRESENTATION OF DR. MARTICELLO	85
PRESENTATION OF DR. DASSLER	92
<u>PRESENTATION OF COMMITTEE, DR. JASINSKI</u>	95
PRESENTATION OF DR. JONES	105
COMMITTEE DISCUSSION	110

P R O C E E D I N G S

1  
2 DR. COHEN: I think we will begin. There are two  
3 Committee members not yet present. We have given them 10  
4 minutes to find their way through this new installation, which  
5 we are very pleased to meet in, and so I will call the meeting  
6 to order now, and welcome you to this, the 13th meeting of the  
7 Drug Abuse Advisory Committee.

8 My name is Sidney Cohen. I am from Los Angeles and  
9 I am Chairperson of the Committee. The last time I spoke with  
10 you, I said "for the last time," and this, I again say, "for  
11 the last time," without possibility of contradiction.

12 DR. BALSTER: Do we have to thank you again?

13 (Laughter)

14 DR. COHEN: Will the staff and the Committee members  
15 identify themselves?

16 DR. TOCUS: Ed Tocus, Chief of the Drug Abuse  
17 Staff, FDA.

18 DR. LEBER: I am Paul Leber, Director of the  
19 Division of Neuropharmacological Drug Products from the FDA.

20 DR. VOCCI: I am Frank Vocci. I am a pharmacologist  
21 from the Drug Abuse staff.

22 DR. JONES: I am Reese Jones from the University of  
23 California, San Francisco, psychiatrist, doing clinical  
24 pharmacology.

25 DR. BALSTER: Bob Balster, Pharmacology Department,

1 Medical College of Virginia, Richmond.

2 DR. BALTER: Mitchell Balter, National Institute of  
3 Mental Health, Chief of Applied Therapeutics and Health  
4 Practices Program.

5 DR. NUIT: Jo Ann Nuit, Pharmacologist, N.B.  
6 Associates.

7 DR. GOODWIN: Don Goodwin, University of Kansas,  
8 Department of Psychiatry.

9 DR. PHILLIPS: I am Don Phillips, pharmacist for  
10 the Arkansas Department of Health.

11 DR. WALLENSTEIN: Stan Wallenstein from Memorial  
12 Sloan Kettering, psychologist.

13 MR. ABRAMEK: I am Fred Abramek, the Executive  
14 Secretary for the Drug Abuse Advisory Committee.

15 DR. COHEN: Mr. Abramek, you have some comments to  
16 make. Why don't you go ahead and make them?

17 Don Jasinski has arrived from the National Institute  
18 of Drug Abuse.

19 MR. ABRAMEK: I ask your indulgence while I make a  
20 few announcements. First of all, thank you all very much,  
21 and special thanks to members of the Advisory Committee for  
22 being able to come back into town so soon. As Dr. Cohen  
23 indicated, we had said our goodbyes about a month or so ago,  
24 but it is good to see you again, and thank you very much for  
25 adjusting your schedule to accommodate us.

1 This is my first experience with the room -- I hope  
2 I am heard in the back of the room and I hope that we can all  
3 be heard without the microphones -- it may be of benefit to  
4 our transcriber-recorder. However, it will make her job a  
5 lot easier if people who do come forth would address their  
6 comments into the microphone in back of me.

7 I have been advised that Drs. Anthony, Baselt, Pruitt,  
8 Rose, and Scholar will be unable to attend this meeting.  
9 One additional comment about Dr. Paul, his wife is having a  
10 baby one of these days this week, so I am not sure whether  
11 his absence is because of that.

12 If anyone in the audience has comments to make during  
13 the open public session, again, if you would come forth and  
14 speak into the microphone in back of me -- however, make  
15 sure that the Chairman does address you, recognize you, and  
16 then state your name and affiliation.

17 I hope everyone did grab an agenda and the list  
18 of the members on the table at the rear of the room as they  
19 came in. Smoking also is not permitted in this room, and  
20 we ask that if you do need to smoke -- very ironic for this  
21 meeting --

22 (Laughter)

23 -- that you do so outside in the appropriate places.

24 Also, the cafeteria is in the next building, Build-  
25 ing B, which is the building that probably most of you came

1 into, the building above the parking garage. I have made  
2 arrangements for us to use the cafeteria at any time for  
3 breaks, any time anyone wants to take a break, just leave,  
4 get your cup of coffee, come back, et cetera.

5 We also have arrangements for lunch if we are through  
6 here at that point in time. Since we are guests of the  
7 University, I would ask you indulgence, also, and assistance  
8 in helping me bus this room after the meeting. A reminder,  
9 also, that this is an open meeting and anyone may record  
10 the transcript of the meeting, with the knowledge that it is  
11 not official until such time as the Commissioner has approved  
12 the transcript.

13 At this point in time I am not aware of any member  
14 of the Committee who has any direct conflict of interest  
15 with any of the topics for this meeting. However, for the  
16 record, I would like to ask the question, are there any  
17 Committee members who feel that they have any potential  
18 or real conflicts of interest that I am not aware of at this  
19 time and who would prefer to make that known at this point  
20 in time?

21 DR. JONES: For the record, it is probably important  
22 to mention that there is some potential -- or at least the  
23 appearance of a conflict of interest in my case, in that a  
24 few years ago our research group at the University of Califor-  
25 nia in San Francisco did receive some support from Merrell-Dow

2024952127

1 for studies with Nicorette.

2 The data in those studies is not part of what we are  
3 considering today. We are no longer receiving support from  
4 them for any studies, though we are being supplied with some  
5 Nicorette chewing gum for other reasons, for other stuff. I  
6 in my own mind sort of balanced what I could contribute to the  
7 discussion versus the appearance of conflict of interest and  
8 decided that the balance was such that I had no personal  
9 problems with it.

10 The Committee, or the staff, may feel that it would  
11 be wiser that I not participate in the vote. I will leave  
12 that up to you for your decision.

13 DR. JASINSKI: Yes, I don't think it is a conflict  
14 of interest, but we have been studying nicotine and tobacco  
15 as a dependence process over the last few years and I guess  
16 about nine or 12 months ago we decided to investigate the  
17 Nicorette chewing gum.

18 Dow Chemical has supplied us with the gum and allowed  
19 us to cross-reference their IND -- it is a National Institute  
20 of Drug Abuse IND, there are no funds involved. So, we have  
21 been studying the Nicorette gum on our own, and they have  
22 cooperated with us.

23 I also now have a relationship with Dr. Jones, and  
24 that is that he is conducting his studies and we have a  
25 collaborative relationship to study the Nicorette chewing gum.

1 He is now currently going to study the Nicorette chewing gum  
2 under a collaborative study under our IND.

3 MR. ABRAMEK: I would like to make an additional  
4 comment that the Agency is aware of the participation of both  
5 Dr. Jones and Dr. Jasinski and has ruled favorably on their  
6 participation.

7 At this point in time I would like to then make a  
8 few remarks about the packet of information that was sent out  
9 to the Advisory Committee members and the confidentiality aspects  
10 of that. This will be for the benefit of all the sponsors or  
11 potential sponsors who may be in the audience.

12 This is what I call the Committee member review  
13 packet, and this is sent to the Advisory Committee members  
14 prior to the meeting of the Advisory Committee. They may  
15 include such documents as clinical reviewers' reports, the  
16 pharmacologist's review, consultants' review, some things which  
17 have been prepared in-house within FDA that may have partici-  
18 pation from the sponsor; we may have participation from outside  
19 groups.

20 The information in the packet is such that we feel  
21 it must be known to the Advisory Committee members if they  
22 are to be knowledgeable about a particular subject. Such a  
23 review packet was prepared for this meeting. We occasionally  
24 get comments or requests from sponsors asking if they could  
25 in turn have a copy of this internal review publication.

1 We have checked with our legal people and been  
2 informed that all such reports which are prepared in-house,  
3 within Food and Drug, are considered to be interim internal  
4 agency documents from which no official position could be  
5 made. They are confidential in nature and they are not  
6 releasable.

7 We try not to be secretive, however, about the  
8 information which is sent to the Committee members. Agency  
9 personnel frequently meet with sponsors, we telephone sponsors  
10 and have phone conversations. We frequently commit things to  
11 writing between the Agency and the sponsors, some of these  
12 which may be addressed in various aspects within a Committee,  
13 Advisory Committee meeting.

14 There are times when such packets, however, do not  
15 contain this type of confidential information. At these  
16 times we are more than willing to give a sponsor a copy of what  
17 is sent out to the Advisory Committee members. On the other  
18 hand, such as this case, the packet contains information which  
19 is not releasable.

20 So, we hope that you will all -- and this is not  
21 intended for the Dow-Merrell, not specifically geared toward  
22 them at all -- it is just, hopefully, our way of letting  
23 sponsors know in the future that when we say no, that we  
24 can't release some information, we hope that you will under-  
25 stand our reason for doing so.

1 I would like to make one last comment, and the  
2 people from Dow-Merrell are aware of this. In case someone  
3 does have additional slides or will be presenting in the open  
4 public session, the pointer that we have is a laser pointer  
5 and I would just ask that everyone be sure not to point it  
6 toward any member of the audience.

7 (Laughter)

8 With that, though, I will then close my comments and  
9 turn the meeting back to Dr. Cohen.

10 DR. COHEN: Thank you. Dr. Leber, you had some  
11 remarks?

12 DR. LEBER: Yes, in the first place, I would like  
13 to explain why you are back here. I had given some very wise  
14 advice to a member of the Committee, telling him that there was  
15 no chance that we would be meeting again before Dr. Cohen left  
16 us -- that is when we all said such nice goodbyes. It is all  
17 my fault. I made a managerial misjudgment in predicting the  
18 future -- those happen often.

19 I was absolutely wrong. We are back here today, and  
20 I welcome you and, as a matter of fact, I am not so disappointed,  
21 because I think it actually turned out to be a very good idea  
22 to be back. That is the first point.

23 The second is that I would like to welcome you all  
24 personally. I think this should be an interesting topic to  
25 discuss. It certainly covers a spectrum of issues and I would

1 like to take advantage of my position as Director of the  
2 Division and the person in the end who implements and takes  
3 advantage of your counsel and advice and, finally, has to make  
4 a recommendation upon it, to deliver what I traditionally do,  
5 and that is called the charge to the Committee.

6 Now, a lot of people don't like being charged at or  
7 pushed, but I feel like I ought to do it for the record to  
8 try to clarify what kind of counsel information we want from  
9 you. Also for the record I would like to make it clear,  
10 perfectly clear, that we are well aware as a federal agency  
11 that there are very few people in our time who don't know there  
12 is a morbid risk associated with smoking cigarettes as a life-  
13 long habit, whether you are talking about lung cancer, cardio-  
14 vascular disease, stained teeth or fingers.

15 I think almost everyone is aware that something is  
16 bad about the habit. Indeed, I think anyone who bothers to  
17 read the cigarette package from which they draw their daily  
18 ration knows that the federal government has an official  
19 position warning you that it is not a wise thing to do, to  
20 smoke, it is not good for your health.

21 Unfortunately, we live in, I think, a real world  
22 where smoking, like overeating, as everyone on this panel  
23 knows, is a habit that is hard to break. Many people who claim  
24 they want to stop find it difficult to do so; in fact, they  
25 fail to succeed even though they claim to have tried time and

1 time again.

2 Others, who have succeeded in stopping smoking,  
3 obviously lapse, just like people who overeat too much. Thus,  
4 being against smoking and trying to find an effective means to  
5 encourage smokers to stop smoking is, I think, a bit like  
6 being in favor of God, flag, apple pie, and everything good  
7 at a Fourth of July celebration in a small hometown in the  
8 1940's.

9 DR. COHEN: You forgot motherhood.

10 (Laughter)

11 DR. LEBER: Motherhood, yes.

12 I don't see how anyone could possibly think that we  
13 are not concerned as a federal agency about smoking. We would  
14 like to do what we can to get people to stop smoking in the  
15 interest of the public health, and I think as a physician I  
16 also share that view independently.

17 Unfortunately, and this is where the hooker comes,  
18 merely wanting to find a treatment for something does not mean  
19 that one has a treatment. Furthermore, and this is probably  
20 more apropos of today's discussion, even because one claims  
21 to have a treatment that is logical by scientific and medical  
22 rationale, that even has a pathophysiologic explanation for its  
23 mechanism of action, that is not enough under our federal  
24 system of laws to allow the marketing of a drug product.

25 And that is more precisely why we are here today.

1 Merrell-Dow has submitted a new drug application for a product  
2 which consists of a resin-bound buffered nicotine-containing  
3 chewing gum which they claim, when used under the directions  
4 that we will write some time in the future, will increase the  
5 chances of a smoker who is determined, in quotation marks,  
6 to stop smoking, to indeed stop smoking.

7 Now, this kind of a claim without further elaboration  
8 and explanation begs a very critical definition of what we  
9 mean by, quote, stopping, close quote, smoking. Now, stopping  
10 is, we would think, a pretty straightforward word, but I think  
11 it actually has a lot of different interpretations and meanings.

12 One of the things we had to do as a Division before  
13 we could even assess the information in front of you today is  
14 to set up an operational definition of what it means to stop  
15 smoking. Now, one of the important inputs from the Committee  
16 is do you agree with the operational definition that will be  
17 discussed later that we have used in evaluating the data?

18 Because, otherwise, we have an undefined term which  
19 means nothing. So, one of the things I would like to get your  
20 specific comments on in the process of answering the single  
21 question we have raised is, is our outcome definition of  
22 stopping appropriate? Is it a wise one and is it a valid one?

23 Now, another point that is critical and I think  
24 possibly controversial is that we are in an unusual situation,  
25 for a drug, in quotation marks..

1           Nicotine, the presumed active component of the gum,  
2 is itself what we would call a toxic substance; that is, it is  
3 pharmacologically active and produces effects on the normal  
4 body system in most people that most reasonable persons would  
5 conclude is not good for you. In short, most physicians would  
6 recommend that individuals shun the casual use of nicotine.

7           The Agency understands this. It also believes that  
8 most people who smoke cigarettes obtain not only nicotine, but  
9 nicotine plus other nice "bennies" like ionized air, coal  
10 tars, smoke, and a variety of other phenomena, and I guess we  
11 have made an implicit, and I am now making explicit, judgment  
12 that it is better to obtain nicotine for a short period of  
13 time within the structure of the labeling that we will write,  
14 from a gum than from a cigarette, if that is the quid pro quo,  
15 presuming, of course, that you can get the same amounts of  
16 nicotine and that you do not smoke during that period.

17           Another point that you have to address as a Committee  
18 -- and I think before I go on that I would certainly like your  
19 opinion of that expressed in today's discussion -- another  
20 point, I think, is the discussion, of course, of evidence.  
21 Our laws say very clearly, our regulations, that before you as  
22 experts can conclude anything, that you must have looked at  
23 evidence obtained from what are called adequate and well-  
24 controlled investigations that allow you fairly and reasonably  
25 to conclude that the product will do what it claims it is going

2024952135

1 to do in the labeling, again, that we will eventually write.

2 Now, you are allowed to do this in part on the basis  
3 of what we have defined in the past as adequate and well-  
4 controlled investigations. And our regulations, which are in  
5 front of me, specify a whole list of things that you can go  
6 through to test whether or not a trial is adequate and well  
7 controlled.

8 This is an issue that is easier solved in the pros-  
9 pective than it is retrospectively, and for reasons that will  
10 become clear in the discussion, this became an issue and, again,  
11 we would like you to listen to our internal decisionmaking  
12 process on this issue, because it is critical for the record  
13 that we understand that the approval of this product is based  
14 on adequate and well-controlled investigations.

15 Also, this has to allow a quantitative estimate of  
16 this difference between treatments, the evidential base on what  
17 you are going to be dealing with. There are going to be other  
18 things the Agency wants to determine about this product before  
19 it is actually marketed, so I want to carefully distinguish  
20 between the question that we have posed to you today; that is,  
21 specifically, do you think the evidence in the clinical studies  
22 before you persuades you that Nicorette will aid people to stop  
23 smoking in the behavioral programs that were used, as distinct  
24 from whether or not you want to have the application for  
25 Nicorette gum approved.

1           Approval is still the process that is reserved to the  
2 Agency, so even though you may privately believe that we ought  
3 to approve the application, we still have other things to  
4 satisfy, labeling and certain other issues that we will  
5 negotiate, if your guidance is that you think the product works  
6 and is safe.

7           Now, I will tell you this at the outset. The Division  
8 of Neuropharmacological Products and its statistical consultants,  
9 which represent the level of the Agency that has assessed the  
10 data to this date, is preparing to conclude, is the best way  
11 I can put it, that the evidence presented by the sponsor has  
12 been obtained from adequate and well-controlled investigations  
13 -- emphasis on plural -- and the evidence supports, beyond the  
14 vagaries of chance, that Nicorette does enhance the quit rate  
15 among smokers trying to stop smoking and seek -- and I think  
16 that is our bottom line, and that we would really like your  
17 specific advice and counsel on whether we are correct. We are  
18 preparing to make this decision, and obviously we would like  
19 your input on that, and that is really the bottom line.

20           Now, you should be aware that we were not initially  
21 of this opinion; in fact, we earlier refused to accept the  
22 filing -- not officially the filing -- but we refused to approve  
23 this NDA when it was based on studies that actually provided  
24 fairly good evidence, but the studies themselves failed to meet  
25 our fairly, I think, demanding standards for adequate and

1 well-controlled investigations, and the Committee is aware --  
2 I suggest you look at the nonapproval letters -- of what some  
3 of the reasons were that we turned down two of the studies which  
4 we would now view as supportive and perhaps with further  
5 elaboration that cannot be presented today, because we don't  
6 have time to do it, perhaps also may come up to the standards  
7 of some of the studies discussed here.

8 We had just simply chosen a different route to go  
9 with the firm, that having turned it down, we agreed that we  
10 would prospectively try to look at two studies, one in progress  
11 at the time, conducted by Drs. Russell and Jarvis and their  
12 associates, and the other, that I think was also in progress,  
13 that we could have more input into the actual outcome and  
14 planning of, a study conducted by Dr. Christen at the Indiana  
15 Dental Clinic.

16 These two are the major source of evidence that we  
17 wish to discuss today; there is other evidence available, but  
18 we would like your opinion specifically on those. Certainly  
19 anything else is open for discussion. Our analysis of the  
20 strengths and weaknesses of the evidence and how we reached  
21 our conclusions about it is going to be the subject of various  
22 presentations made by FDA staff; that is, Drs. Vocci and Dassler  
23 will discuss the clinical evidence, and Dr. Marticello from  
24 the Division of Biometrics is going to discuss how we went  
25 through our statistical modeling and analysis of the evidence

1 to reach our conclusions.

2           Following that, I think you will have a chance to  
3 hear your own Committee discussants. One final word, I don't  
4 think these are as trivial or easy decisions as I might have  
5 thought not so long ago. In properly placing the FDA's  
6 imprimatur on a toxic product of dubious worth would certainly  
7 not be in the interest of public health.

8           Therefore, it is very critical that you, as our  
9 advisers, certify to us that you think we are doing the right  
10 thing -- more than think, that you are willing to vote that we  
11 are doing the right thing. Now, remember, there are other  
12 things that are to be involved before the product is approved,  
13 but on the basic issue where you are truly experts, whether this  
14 will aid in people stopping smoking, we want your advice.

15           With that, I would turn the Chair and the meeting to  
16 our able Chairman, Dr. Cohen.

17           DR. COHEN: Thank you. Ed, do you have some remarks?

18           DR. TOCUS: Yes. This, the 13th meeting, represents  
19 another facet of the activities of the Drug Abuse staff and  
20 the involvement of this particular Committee. The Committee  
21 started as the old Psychotomimetic Advisory Committee and then  
22 became a Drug Abuse Research Advisory Committee, and at one  
23 point we had two committees, the Drug Abuse Research and a  
24 Controlled Substances Advisory Committee, and those were  
25 combined and became the Drug Abuse Advisory Committee.

1 We have done a lot of the investigations and we  
2 have gotten a lot of advice from this Committee on the  
3 research. We have gotten a lot of advice from this Committee  
4 on controlled substances. Rarely have we come to you for this  
5 type of an evaluation and decision, but this is the third  
6 facet of our activities in the Drug Abuse staff.

7 You might -- I would just like to put for the record  
8 that this product, and we have alluded to the nicotine-contain-  
9 ing chewing gum as a drug, we at the Drug Abuse staff are  
10 prevented by law from dealing with alcohol or tobacco, but  
11 we are not prevented from dealing with anything, any drug or  
12 substance that is used to treat dependence on alcohol or  
13 tobacco; therefore, this now becomes a drug and the FDA has  
14 authority over substances used to treat.

15 These substances usually come to the Drug Abuse  
16 staff and that is why we are here with the Drug Abuse Advisory  
17 Committee. I second what Dr. Leber has said. We do welcome  
18 you. We weren't expecting this, but it is nice to have a  
19 committee that can convene and tackle issues such as this.  
20 Now I will turn it back over to Sid.

21 DR. COHEN: I think we will open the meeting now  
22 to the public and Fred has informed me that there is one  
23 individual who has requested time to make a presentation.

24 OPEN PUBLIC HEARING

25 MR. ABRAMEK: Dr. Cohen, Dr. Blum was not sure

1 whether or not he would be able to make it to today's meeting.  
2 However, in his absence, he did send us in the mail an  
3 article or a statement which he would like circulated to the  
4 members of the Committee as well as to the audience.

5 I will just briefly paraphrase what he had to say.  
6 Dr. Allen Blum, B-l-u-m -- and he is associated with DOC, or  
7 Doctors Ought to Care, a membership, nonprofit organization  
8 of approximately 1000 members. Its objectives are threefold,  
9 to curtail public health costs, to educate the public, and  
10 encourage dialogue within the health professions about major  
11 killer habits such as cigarette smoking, alcohol dependence,  
12 and other drug abuse and, three, to counteract the promotion  
13 of such lethal life-styles.

14 It is the opinion of this group that the available  
15 data are insufficient to permit approval of the drug at this  
16 time. Dr. Blum then goes on to cite studies by Russell, Fee,  
17 and Stewart, and points to other raw data. I will leave it  
18 to each individual person to read what he has to say, but I  
19 would like it entered as an official document.

20 DR. COHEN: Thank you. Are there any members of the  
21 public who have a comment to make, a brief comment to make?  
22 This is the time in which it could be done.

23 (No response)

24 There seem to be none, so we will now proceed with  
25 the first item of business, and the last, namely, is Nicorette

1 Gum approvable under the conditions that Dr. Leber mentioned.  
2 Leading off the presentation for the sponsor, Merrell-Dow, will  
3 be Dr. Ohye.

4 PRESENTATION OF MERRELL-DOW

5 MR. OHYE: Thank you and good morning, everyone.  
6 Mr. Chairman, members of the Committee and the Agency, ladies  
7 and gentlemen, I am George Ohye, Vice President, Regulatory  
8 Affairs, for Merrell-Dow Pharmaceuticals, Inc., and on behalf  
9 of Merrell-Dow I would like to take this opportunity to thank  
10 you for this opportunity to present data on Nicorette, nicotine  
11 resin chewing pieces, an adjunct to smoking-cessation programs  
12 that is helping hundreds of thousands of people who want to  
13 quit smoking in Canada, England, Ireland, Germany, Austria,  
14 Sweden, and Switzerland become ex-smokers.

15 It is my pleasure to introduce our first speaker  
16 this morning, Dr. Bill Martz, who recently retired as Medical  
17 Director of Merrell-Dow to return to academia. Dr. Martz is  
18 Professor of Medicine at Indiana University School of Medicine  
19 and a past President of the American College of Cardiology.

20 We felt it is particularly fitting that Dr. Martz  
21 is our first speaker and the moderator for our presentation,  
22 because it was under his leadership that Nicorette was first  
23 studied by Merrell-Dow in the United States. Dr. Martz?

24 PRESENTATION OF DR. MARTZ

25 DR. MARTZ: Chairman Cohen, we are very pleased to

1 have the opportunity to review the data on Nicorette for this  
2 group. I apologize for reading my comments -- Mr. Ohye, in  
3 the interest of time, has insisted on it -- I think Dr. Leber  
4 has covered many of the points in my presentation, so I also  
5 apologize for the repetition.

6 I believe all of us in this room would readily agree  
7 that cigarette smoking is a habit easily acquired and, for  
8 many, one quite difficult to break. Nicotine-dependence, at  
9 least in many smokers, is a significant factor in the continu-  
10 ing use of cigarettes.

11 Serious smokers appear to regulate their smoking,  
12 their brand, frequency of cigarettes, depth of inhalation, to  
13 experience pleasurable CNS effects and prevent the unpleasant-  
14 ness of withdrawal. Such a dependence was operative before  
15 cigarette smoking became popular, but in the form of chewing  
16 tobacco and, for the ladies, the more easily concealed use of  
17 snuff -- habits I understand are returning.

18 If Dr. Ebert were here, and he had planned to be  
19 and was prevented by illness, he would probably point out that  
20 the magnitude of tobacco use in our nation really didn't  
21 change much when our society switched from a chewing to a  
22 smoking one.

23 Inhalation of cigarette smoke provides a rapid  
24 delivery system for nicotine, with high brain levels quickly  
25 achieved. However pleasant, the inhalation route carries with

1 it carbon monoxide irritating gases, carcinogenic tars, and  
2 other components of cigarette smoke which have contributed  
3 significantly to toxicity and morbidity in the form of chronic  
4 lung disease and cancer, as our nation's vital statistics  
5 dramatically show.

6           Approximately 14 years ago a distinguished scientist  
7 in Stockholm, Professor Ove Ferno (phonetic) -- and I wish so  
8 much he could be with us today -- began working with nicotine-  
9 containing chewing pieces as an alternative route of adminis-  
10 tration as a means of helping smokers break the habit.

11           At the Second World Conference on Smoking and Health  
12 held in London in 1971, data were presented on the use of this  
13 gum. Although somewhat effective, to accomplish a uniform  
14 release rate in the mouth required not only complexing the  
15 nicotine to resin, but also critical buffering.

16           The rationale of the chewing gum was that this vehicle  
17 would provide a means of accomplishing slow absorption through  
18 the buccal mucosa, bypassing liver destruction, with the  
19 possible additional virtue of partially substituting for the  
20 oral gratification cigarette.

21           It was shown by Dr. Russell, who is present with us  
22 today, early that a piece of gum providing 4 milligrams of  
23 nicotine chewed slowly every hour provided blood levels which  
24 approximated those achieved when a strong cigarette was smoked  
25 every hour -- of course, without the peaks of nicotine levels

11 that inhalation of cigarette smoke produces.

12           The AB LEO Company of Sweden, developer of the  
13 nicotine-resin buffered complex, five years ago surveyed approxi-  
14 mately 100 Swedish physicians who had had experience with the  
15 gum in their patients. In this study there was an overall  
16 effectiveness of 34 percent, not controlled by placebo.

17           Ninety-three percent of the responding physicians  
18 said they found it useful in their practices. Dr. Ferno con-  
19 cluded from this study and survey that, and I quote from him,  
20 doctors can be stimulated to take an active interest in  
21 smoking cessation by the existence of a pharmacologically  
22 active product like nicotine chewing gum, end of quote.

23           Subsequent to this indication of interest by  
24 Swedish physicians, the AB LEO Company began licensing efforts.  
25 The Dow Chemical Company in Canada obtained approval for  
marketing in that country, and there has now been a four-year  
marketing experience with good acceptance and no unexpected  
problems with the use of Nicorette.

          The acceptance by Canadian physicians stimulated our  
interest in the U.S. and an IND was filed on June 23, 1980.  
The Merrell-Dow Pharmaceutical Company sponsored additional  
bioavailability studies in the U.S., documenting that the  
chewing gum produced blood levels in the same range as  
cigarette smoking and that there was no unusual metabolism  
associated with this route of administration.

1           These data, together with results from several U.K.,  
2 Scottish and Swedish efficacy trials, were presented as a new  
3 drug application in March of '81. I should make it clear that  
4 these studies were initiated prior to U.S. licensing of the  
5 product without the rigor of joint sponsor-FDA-investigator  
6 protocol review and planned documentation.

7           This resulted in certain logistic difficulties, as  
8 Dr. Leber has already referred to. For example, in the case  
9 of the Fee study, in Scotland the Health Service found it in-  
10 appropriate to send case-report forms to the sponsor for  
11 detailed analysis -- these were sent directly to the FDA.

12           In the case of the early Russell studies, some of  
13 the data usually incorporated in the U.S. case report forms  
14 were provided retrospectively. I should point out that  
15 Professor Russell is in the fortunate position as a long-term  
16 established investigator to have program rather than contract  
17 support, and his studies have at times, have not been  
18 supported by pharmaceutical sources.

19           He studied nicotine gum because he had interest in  
20 it from his long-term behavioral modification programs and  
21 does not have the bias of industry support. This carries with  
22 it the problem of some of the documentation that we are  
23 accustomed to in NDA applications.

24           The sponsor felt at the time of the new drug  
25 application, obviously, that support for efficacy and safety

1 was adequate. The FDA requested that additional data of U.S.  
2 origin be submitted. The study by Christen, which you have had  
3 an opportunity to review, is response to that request. You will  
4 hear directly from Dr. Christen a bit later. The FDA  
5 reviewers have been in phone contact with Professor Russell  
6 regarding his studies and we present this morning to your  
7 Committee -- he is here to give firsthand details of his  
8 experience, if you care to hear them.

9 We should emphasize that the sponsor is proposing  
10 the modest claims that nicotine chewing pieces provide a  
11 delivery system for nicotine free of the noxious respiratory-  
12 tract toxicity of cigarette smoking. The gum has been  
13 demonstrated, we feel, to be a valuable adjunct to a cessation  
14 program in the smoker who is well motivated to stop.

15 No suggestion is made that it will work in a poorly  
16 motivated subject. Current data suggest that the highly  
17 nicotine-dependent smoker is the most likely to benefit. Also,  
18 as in most behavioral modification programs, it would seem  
19 essential that it be acknowledged that continued motivation,  
20 social support, and encouragement to tolerate the unpleasantness  
21 of the gum is essential in preventing return to the habit.

22 These considerations, we feel, make it imperative  
23 that nicotine chewing gum be a prescription item and be used  
24 in conjunction with appropriate motivational and support  
25 efforts.

20249521A7

1 In addition to the data contained in the new drug  
2 application, your Committee has further safety and utility  
3 assurance from the marketing experience in several sophisticated  
4 countries, which George alluded to.

5 As indicated, Canadian physicians have found Nico-  
6 rette useful, as evidenced by good market acceptance and steady  
7 sales over the past four years. In Sweden, its country of  
8 origin, the use of Nicorette is at the highest level per  
9 capita of any country in which it is available. It has been  
10 estimated by the producers of the gum that approximately 1.2  
11 million people have had experience with the gum at this point  
12 in time.

13 Acceptance in the U.K. market has also been good.  
14 We will comment later on side effects. If any of you have  
15 the erroneous impression that nicotine chewing gum might be  
16 considered in the confectionary category, George, would you  
17 mind -- we have brought a supply of placebo gums, so you could  
18 see and taste it, if you care to.

19 You can judge for yourself its palatability. The  
20 nicotine-containing gum, at the suggestion of FDA, if marketed,  
21 will be in a child-proof package. We made these placebo simpler  
22 to get to.

23 SPEAKERS: Which are the placebos?

24 DR. MARTZ: This is all placebo.

25 (Laughter)

1           SPEAKER: Is this the placebo used in the Indiana  
2 study or in the Russell study?

3           MR. OHYE: This is the Indiana placebo.

4           DR. MARTZ: We think you will agree with us that  
5 it is not a very pleasant confectionary-type product. We will  
6 talk about this a bit later, but we feel that if we get to the  
7 marketplace, our problem will be helping physicians keep their  
8 patients on it long enough to really get the job done, that  
9 the abuse potential is relatively minimal, partly by nature of  
10 the gum.

11           Early in the U.S. evaluation of Nicorette, we were  
12 fortunate to enlist the collaboration at the University of  
13 Arkansas of Drs. Ebert and McNabb. I am sure all of you are  
14 aware that they are 20 miles away from the Pine Bluff Laboratory,  
15 and that Laboratory had developed prior to our and their  
16 interest in Nicorette a very sensitive and reliable assay for  
17 nicotine, and these do not exist in very many laboratories,  
18 we found.

19           Dr. Eugene McNabb, who works with Dr. Ebert, will  
20 next review their data on comparative nicotine blood levels  
21 on gum versus cigarettes. Dr. McNabb is Director of the  
22 Pulmonary Laboratory, VA Hospital, University of Arkansas.

23           DR. COHEN: While we are waiting, I might for the  
24 record mention that Dr. Steven Paul has arrived from the  
25 NIMH, adding to our Committee numbers.

1 DR. GOODWIN: Are the placebos indistinguishable  
2 from the 2-milligram-containing nicotine gums?

3 DR. MARTZ: Dr. Russell, would you want to comment  
4 on that?

5 DR. RUSSELL: I don't know whether these placebos  
6 are the same as ours, so I couldn't answer.

7 DR. GOODWIN: Well, can you tell the difference between  
8 them and the active drug? That is what I am asking.

9 DR. JONES: I have chewed the 2-milligram gum, which  
10 is what we are using. I have not chewed this placebo before,  
11 and this is quite distinguishable. I find this not unpleasant.

12 (Laughter)

13 You are quite right, the gum -- I assume because  
14 there is nicotine in it, which is a bitter alkaloid -- has --

15 DR. GOODWIN: Because there aren't side effects with  
16 the gum or because it has a different taste?

17 DR. JONES: A different taste, plus you do get,  
18 you know, effects -- I would call it side effects -- you get  
19 the effects of nicotine, at least I, as a nonsmoker, do. I  
20 don't know about the 1 milligram on buffered gum that Dr.  
21 Russell and others have used as, quote, placebo. I have never  
22 tasted that.

23 DR. MARTZ: I am pretty sure the person can, by  
24 pharmacologic effects detect, but the taste is -- we put as  
25 much nastiness in this as we could get.

1 DR. LEBER: This issue is one of the subjects we  
2 were involved in, we will get into it later, but it occurs to  
3 us unless the subject has had both gums, he really has no  
4 reference or comparison. However, there is another issue  
5 labeled blinding of the investigator, and that is something  
6 I think our statisticians will discuss a little bit later.

7 But I think we were convinced if no subject has had  
8 repeated exposure to different sources of gum, the issue of  
9 taste wouldn't be as critical as it would be, unless they  
10 had contacted them to discuss how it tastes. Now, try to  
11 describe the taste of this gum to someone else.

12 DR. COHEN: Let's continue.

13 DR. NUIT: Wasn't there one other type of placebo,  
14 though? Before, I thought it mentioned in the material we  
15 were given that there was another placebo that incorporated  
16 cathepsin or a similar product.

17 DR. COHEN: Let's continue. I am sure a lot of the  
18 questions in everybody's mind will -- some of them -- will be  
19 resolved with the discussion that is going to occur.

20 PRESENTATION OF DR. McNABB

21 DR. McNABB: Dr. Cohen, members of the Committee,  
22 Dr. Richard Ebert, who was to be here, has been active in  
23 studying and writing about smoking cessation in this country  
24 for several years, one of the few internists engaged in that  
25 sort of activity. He is not here today because he became

1 acutely ill.

2 I have worked with Dr. Ebert as a medical student  
3 and as a house officer, and in later years as a research  
4 associate. I hope I don't add to his symptoms by standing up  
5 here and quoting some of his remarks that he was to make to  
6 the people here.

7 As you know, cigarette smoking is the principal cause  
8 of lung cancer which, in turn, is responsible for 100,000  
9 deaths per year in the United States. Lung cancer is not only  
10 the most common cause of death from cancer in men, but now  
11 equals breast cancer in terms of cause of death from cancer in  
12 women.

13 Chronic bronchitis and pulmonary emphysema are also  
14 directly related to cigarette consumption and are a major  
15 cause of disability and death in the United States. Finally,  
16 cigarette smoking greatly increases the risk of developing  
17 coronary-artery disease.

18 In a perfect world, the solution to the problem of  
19 cigarette smoking and disease would be abolition of cigarettes.  
20 In this imperfect world, it is impossible, for a variety of  
21 reasons. The physician, in his practice, must deal with  
22 persons who are addicted to cigarettes. There is evidence that  
23 if these individuals can be induced to quit smoking at the  
24 appropriate time, smoking-associated disease can be prevented.

25 An example of this is the early treatment of chronic

2024952152

1 obstructive lung disease. It is now believed that individuals  
2 with incipient disease can be identified by detecting more  
3 rapid annual loss of pulmonary function. There is evidence  
4 that detecting these individuals with early evidence of airway  
5 obstruction and inducing them to quit smoking will prevent the  
6 eventual development of disability and death.

7 In 1980, we began our studies and we are happy to be  
8 studying an agent, the chewing of which didn't lead to spitting  
9 on the sidewalks and from which carcinogens had been unloaded,  
10 and which, according to studies by me, created blood levels of  
11 nicotine no greater than that produced by chewing tobacco,  
12 and which did not pollute the air space of other persons.

13 You may know that in 1910 there was very little  
14 smoking of cigarettes and tobacco was consumed mainly as  
15 chewing tobacco. That began to change in 1920 and then some  
16 20 years later began the epidemic of lung cancer. But it is  
17 true that throughout the history of this country that the  
18 consumption of tobacco products and the consumption of nicotine  
19 has mainly been by the oral route.

20 So, in 1980 we began a series of studies on this  
21 agent to determine the suitability of it for use by practicing  
22 physicians. We were specifically interested in comparing  
23 blood levels produced by the chewing gum and those sustained  
24 by cigarette smoking.

25 Twelve patients, whose lung functions were slightly

1 less than half of normal on average, participated in our  
2 initial study, which was a nine-day study. Over the initial  
3 three days of the study, the individuals smoked, and we set out  
4 to determine trough levels from smoking their usual brands in  
5 the usual fashion on the first two afternoons.

6 May I have that first slide, please.

7 Just to point out, here are some curves of nicotine  
8 in the plasma. Each dot represents a single determination of  
9 plasma nicotine level. The slide displays one individual  
10 smoking a cigarette, which happened to be the first cigarette  
11 of the morning, and then another individual chewing a piece of  
12 nicotine chewing gum which contained 4 milligrams of nicotine.

13 What we were doing was determining the trough levels.  
14 We were measuring the plasma nicotine in this zone in indivi-  
15 duals who smoked cigarettes, and then I will come to this  
16 momentarily, but we were also drawing blood at this time period  
17 in individuals who were chewing the nicotine chewing gum.

18 So, all along I am talking about trough levels.  
19 Now, on the third day of the initial three smoking days  
20 individuals continued to smoke, but this time they smoked on  
21 an hourly basis, using a common brand which yielded 1.1 milli-  
22 grams of nicotine, and then specimens were drawn in the after-  
23 noon, again, at 1:00 and 3:00 p.m., one hour after the previous  
24 cigarettes. Again, those were trough measurements.

25 On the fourth and fifth days of the study, individuals

1 practiced chewing pieces of 2-milligram nicotine gum and,  
2 after practicing, on the following day, they were asked to  
3 chew a piece of 2-milligram gum hourly, starting at 7:00 a.m.  
4 Blood specimens were drawn at 11:00 a.m., 1:00, 2:00, and 3:00  
5 p.m.

6 The same regimen was followed for testing the 4-milli-  
7 gram gum, again providing two days of practice chewing of the  
8 4-milligram dose.

9 We can have that slide off, please.

10 Every time blood was drawn, the specimen was also  
11 analyzed for carboxyhemoglobin for the purpose of separating  
12 out nonabstinent subjects from the assessment. Thus, eight  
13 abstinence subjects provided data for the assessment of 2-  
14 milligram nicotine chewing gum, and 9 abstinence subjects for  
15 the 4-milligram dose.

16 Nicotine determinations were by the gas chromatograph  
17 technique of Fireben (phonetic) and Russell, with the very minor  
18 modification of changing the internal standard and using  
19 ethyl nornicotine in place of quinoline.

20 Subjects were given lists of possible side effects  
21 associated with the gum and asked to check those they had  
22 experienced.

23 (Slide)

24 Looking at the results of this study, then, as far  
25 as the levels of -- we may need to have more lights out.

1 ("We can see.")

2 We are looking at the results of the plasma nicotine  
3 determinations in abstinent subjects chewing both doses of the  
4 nicotine chewing gum, and on the Y-axis plasma nicotine level  
5 in nanograms per milliliter, and on the X-axis, time of day,  
6 11:00 a.m., 1:00, 2:00, and 3:00 p.m.

7 (Slide)

8 Now, the mean of the nicotine levels chewing 2-milli-  
9 gram gum at 11:00 a.m. was 11.8 after four pieces of 2-milligram  
10 gum; at 1:00 p.m., 11.1 after six pieces of gum; at 2:00 p.m.,  
11 11.4 after seven pieces; and after eight pieces of gum at  
12 3:00 p.m. the level was 12.8.

13 Nine abstinent subjects used the 4-milligram gum and  
14 the mean of their plasma nicotine levels at 11:00 a.m. was  
15 21.8 nanograms per milliliter after four pieces of gum; at  
16 1:00 p.m., 23.2; at 2:00 p.m., 22.1; and at 3:00 p.m., 25.7.

17 If you took all of those levels and took a mean of  
18 all the levels that you saw in the previous slide, the mean of  
19 all levels for 2-milligram gum was 11.8 nanograms per milliliter,  
20 and the mean for 4-milligram gum was 23.2 nanograms per  
21 milliliter.

22 These were compared to the mean of all trough levels  
23 from smoking in the usual fashion, the usual brand of  
24 cigarette, and there were 36 of these measurements, 36 indi-  
25 vidual plasma nicotine measurements, to give this mean of

1 15.2 nanograms per milliliter.

2           There were 18 individual measurements when subjects  
3 smoked a common brand on an hourly basis, and that means for  
4 18 measurements was 18.3 nanograms per milliliter.

5           (Slide)

6           Side effects are listed in this table, and this  
7 number should be 12 instead of 13. Some patients with dizzi-  
8 ness and nausea on the 2-milligram dose did not complain of  
9 those symptoms on the 4-milligram dose. Duration of these  
10 symptoms was brief, with the exception of sore gums in wearers  
11 of dentures.

12           Recurrence of the symptoms was usually controllable  
13 by slower chewing. None of the participants stopped chewing  
14 the gum during the course of the study, and all subjects wished  
15 to continue the gum over subsequent weeks to promote their  
16 efforts to stop smoking.

17           In summary, plasma levels of nicotine may be readily  
18 produced by chewing nicotine gum, and individuals chewing one  
19 or the other dose of gum on an hourly basis developed steady-  
20 state plasma levels which matched those trough levels from  
21 smoking.

22           This group of patients with chronic lung disease  
23 found the gum to be acceptable, despite a few mild controllable  
24 side effects.

25           DR. MARTZ: Thank you, Dr. McNabb. Are there any

1 questions for Dr. McNabb at this point?

2 DR. COHEN: Well, perhaps we could hold the questions  
3 until you have completed your remarks.

4 DR. MARTZ: As was already mentioned, the studies  
5 by Dr. Russell have been the most extensive with Nicorette,  
6 and we felt it was appropriate to ask Dr. Russell to be with  
7 us today. We have asked Dr. Michael Russell to be present and  
8 review his experience with Nicorette.

9 Dr. Russell is responsible for the Addiction Research  
10 Unit, Institute of Psychiatry at the Malmesley Hospital in  
11 London. Dr. Russell, we are happy to have you with us,  
12 and we appreciate your coming the long distance to be here.

13 PRESENTATION OF DR. RUSSELL

14 DR. RUSSELL: Dr. Cohen, ladies and gentlemen, I am  
15 going to present briefly the results of our double-blind  
16 placebo-controlled trial of nicotine gum as an adjunct to  
17 group support in a smoking-cessation clinic.

18 Firstly, I would like to say that we are very honored  
19 that a committee such as yours is prepared to make an exception  
20 and give serious consideration to a study conducted in a  
21 foreign country. We are confident that our methodology, data,  
22 and conclusions are sufficiently robust and stringent to with-  
23 stand any scientific scrutiny.

24 Secondly, I would like to apologize that our protocol  
25 and system of data collection and recording were not in the

1 usual format for clinical trials submitted to you. Our  
2 protocol was handwritten for internal discussion and planning  
3 within our own research group. Perhaps it would help if I  
4 could explain why this is so. The study was funded by the  
5 Medical Research Council, which is the main body for allocating  
6 British Government funds for medical research.

7 Support from the pharmaceutical industry is confined  
8 to the supply of the active and placebo gum. Now, most Medical  
9 Research Council grants are short-term project grants of two  
10 to three years, and these do require detailed and very specific  
11 research protocols.

12 Fortunately, for us, we are funded on a longer term  
13 basis by the more coveted and prestigious program grants. These  
14 program grants give the grantholder freedom and almost carte  
15 blanche to choose what studies to do and how they wish to do  
16 them.

17 So, we chose to do this study and also how to do it,  
18 without having to submit any protocol at all to the Medical  
19 Research Council, or anyone else. Hence the fact that our  
20 protocol was handwritten for internal purposes only.

21 Before going on to actually turn to the study itself,  
22 I would like to say that we have been engaged in smoking  
23 research since 1969, and the treatment has been one of our  
24 main interests. We have had a smokers' clinic running through-  
25 out this time.

1           At first, its scale was modest, but now we see about  
2 300 smokers a year and have had experience with a total of about  
3 2000 smokers at our clinic. Now, like others, we have tried  
4 all kinds of treatment methods. We have tried individual and  
5 group support, we have tried hypnosis, we have tried pharmaco-  
6 logical approaches such as lobeline, nicotine aerosol, tran-  
7 quilizers.

8           We have tried behavioral methods such as electric-  
9 aversion therapy, rapid smoking, covert sensitization, and Q-  
10 exposure (phonetic). But like others, we found that none of  
11 these methods does any better than the intention placebo,  
12 and support element that is involved in any treatment situation.

13           Success rates on these other methods, which were  
14 not always chemically validated in the old days, ranged  
15 between 15 and 25 percent not smoking after one year. But the  
16 advent of Nicorette has changed all this; it has doubled the  
17 success rate, and we believe that it is a genuine breakthrough  
18 in the treatment of smoking cessation after years of frustration.

19           We first tried it way back in 1974 and we weren't  
20 impressed at that time. In a placebo-controlled crossover  
21 study, although it suppressed inhalation during ad libidum  
22 smoking, the inhalation was measured by carboxyhemoglobin  
23 levels, and people who were smoking and having active gum had  
24 lower carboxyhemoglobin levels than people who were smoking  
25 and having placebo gum.

1           So, although it suppressed inhalation, it did not  
2 increase the success rate at that time in 1974, and it was also  
3 not at all acceptable to our smokers. So, we lost interest in  
4 it for a while. However, the product was modified. Flavoring  
5 and buffering capacity were improved, and we took an interest  
6 in it again, in the first instance, by doing absorption studies  
7 to see whether or not nicotine was adequately absorbed.

8           And we did studies of the kind that Dr. McNabb has  
9 so clearly presented to you. Having satisfied ourselves that  
10 nicotine was adequately absorbed, we then tried it again  
11 clinically during 1978 to '79, and to our surprise and interest  
12 it doubled our success rate to 38 percent of 69 subjects who  
13 were abstinent one year after treatment, and that compared to  
14 14 percent for the previous rapid-smoking trial that we had  
15 just completed.

16           So, to see whether or not this was a placebo effect,  
17 we started on the present randomized controlled trial.

18           If I could just have the first slide, please.

19           This shows the methods of the study and you can see  
20 that there were 116 smokers recruited and they were treated  
21 in 12 groups or cohorts of approximately 10 subjects each.  
22 The actual numbers ranged from 8 to 11, with a mean of just  
23 below 10.

24           Each group or cohort was randomly allocated to the  
25 active or placebo gum. I gather that there have been some

1 questions about our randomization method, and we will be very  
2 happy to attend to any of these in the discussion afterwards  
3 in any amount of detail you wish. The study had two therapists,  
4 both experienced clinical psychologists, and I am pleased that  
5 we have got one of them here today to answer any questions  
6 in more detail that he could answer better than I.

7 Both the psychologists, the therapists, and the  
8 patients were blind as to gum allocation. Each therapist  
9 treated six groups of patients, three with active and three  
10 with placebo. So, there were altogether 12 groups, six  
11 placebo gum groups, six active gum groups, each comprised of  
12 58 subjects. The active gum was a 2-milligram commercial  
13 preparation, the placebo was the 1-milligram unbuffered gum,  
14 and the lack of buffer meant that even the lower dose of  
15 nicotine was much less well absorbed.

16 So, we did this to match the nicotine taste without  
17 giving an appreciable pharmacological effect.

18 (Slide)

19 The next slide shows that subjects were treated with  
20 six weekly group therapy sessions, but before the first group,  
21 they had an initial individual session with their therapist,  
22 at which the gum was given out with clear instructions, and  
23 they were also instructed to stop smoking over the next three  
24 days and to then start using the gum ad lib as they needed,  
25 and to try to come up to the first group the following week

1 off cigarettes, but on the gum.

2           During the course of the six sessions, subjects  
3 completed weekly questionnaires which contained withdrawal  
4 symptom ratings, ratings of acceptability of the gum, and a  
5 checklist of unwanted effects. They also completed diary cards  
6 on which they put cigarette consumption and gum consumption.

7           For follow-up they returned at three months, six  
8 months, and one year, and verification was by carbon monoxide  
9 measurement or third-person testimony in a few cases. Of the  
10 successes, third-person testimony was only required in three  
11 in the active group, and four in the placebo group; all the  
12 other successes were validated.

13           (Slide)

14           The next slide shows the patient characteristics --  
15 that is an error, that is not statistically significant, it  
16 doesn't even approach it -- the only significant difference  
17 was in cigarette consumption, and that was to the disadvantage  
18 of those in the active group.

19           All these are pretreatment variables, with the  
20 exception of attendance at psychological sessions, and you can  
21 see that -- that is, group meetings, the six group meetings --  
22 the average attendance was only 2.4 of them.

23           (Slide)

24           This is the main slide of interest and shows the  
25 main outcome in terms of percentage abstinence at one month

1 and one year. The actual success rate, you can see, has been  
2 doubled, 24 percent at one month in the placebo group, 48 percent  
3 in the active group; 14 percent versus 31 percent. These are  
4 significant statistically at the .01 level here and the .025  
5 level there.

6 Now, I would like to say that these criteria of  
7 abstinence were extremely strict ones. These are the ones who  
8 were abstinent by the first group meeting, that is, during the  
9 first week, and didn't have any lapses whatsoever until the  
10 follow-up period at one month or one year.

11 I should also mention that none of these successes  
12 were actually still chewing the gum at one year following.  
13 All these strict criteria successes were off the gum at that  
14 time.

15 In most withdrawal studies cited in the literature,  
16 they don't use such strict criteria. The usual criterion is  
17 validated nonsmoking status at one year follow-up, and by those  
18 criteria the placebo group were 21 percent versus 47 percent  
19 for the active group. So, this is the main outcome finding,  
20 but strong other supportive evidence comes from the fact that  
21 the active gum group had significantly less severe withdrawal  
22 symptoms and also found the gum significantly more helpful.

23 (Slide)

24 This last slide shows the checklist of checking the  
25 unwanted side effects. The only differences that were

1 statistically significant were the hiccups and the indigestion.  
2 The rest were not statistically significant between the two  
3 groups, although some of them clearly occur more frequently.  
4 I should emphasize that to get on this list, you just had to  
5 have said you had a symptom once very mildly over the course  
6 of six weeks.

7 In general, the symptoms were very mild, they were  
8 short-lived, and in no case did they cause -- were they the  
9 reason for dropping out or termination -- for dropping out.  
10 So, that is all the slides. In conclusion, we are satisfied  
11 that the active gum was substantially more effective than the  
12 placebo in enhancing short-term and long-term success rate  
13 at smoking cessation and that those who received the gum had  
14 less severe withdrawal symptoms and found it more helpful.

15 DR. LEBER: Mr. Chairman, do we have permission to  
16 ask some clarifying questions at this point?

17 DR. COHEN: Have you finished your presentation?

18 DR. MARTZ: No, we have two other speakers.

19 DR. COHEN: Can we wait until the two other speakers  
20 have finished? Then we will have a discussion.

21 DR. MARTZ: As we indicated earlier, the Agency asked  
22 that we have additional well-controlled studies, preferably of  
23 U.S. origin. Our next speaker, Dr. Christen, will present  
24 data on a study completed. Dr. Christen is Director of the  
25 Preventative Dentistry Section of the Indiana University School

1 of Dentistry. Dr. Christen?

2 PRESENTATION OF DR. CHRISTEN

3 DR. CHRISTEN: Dr. Cohen, ladies and gentlemen, I am  
4 Dr. Arden Christen, Associate Professor and Chairman, Department  
5 of Preventive Dentistry, Indiana University School of Dentistry.

6 Could we have the first slide, please.

7 Here is an aerial view of the Indiana University  
8 campus from downtown Indianapolis. Our research team and  
9 faculty members are housed in the Oral Health Research  
10 Institute, in this building right there. We are right across  
11 the street from the Dental School.

12 (Slide)

13 Our Institute, directed by the well-known and  
14 respected dental researcher, Dr. George K. Stookey, is a  
15 Division of the Indiana University School of Dentistry. The  
16 Institute is housed in a separate building located adjacent  
17 to the School of Dentistry, and contains about 20,000 square  
18 feet of space which is devoted to laboratory animal and  
19 clinical dental research.

20 I thought it advisable to speak to the Committee and  
21 give you a little bit of background, because I don't know  
22 how familiar you are with our operation. We have eight full-  
23 time Dental School faculty, 23 full-time staff members, plus  
24 about 25 part-time staff members, including dentists, dental  
25 assistants, hygienists, undergraduate and graduate students.

1 For the past 20-plus years, we have completed numerous  
2 research projects, receiving financial support from a wide  
3 variety of governmental and commercial sources, including the  
4 National Institute of Dental Research, the Indiana State Board  
5 of Health, the American Dental Association, General Mills  
6 Foundation, Procter & Gamble, Johnson & Johnson, and many, many  
7 other sources.

8 I am the principal investigator of a project entitled  
9 Clinical Investigation of the Nicotine-Containing Chewing  
10 Gum, Nicorette. This longitudinal study was designed as a  
11 randomized double-blind placebo-controlled trial of three  
12 months' duration, with the primary objectives of assessing  
13 the influence of chewing 2-milligram Nicorette gum on the oral  
14 soft tissues.

15 We were concerned about the oral use of a product  
16 like this, since we know that chewing tobacco and snuff and  
17 smoking tobacco do have some problems with the oral cavity.  
18 So, we were interested in assessing safety considerations.  
19 We were also concerned with various dental and health and cos-  
20 metic parameters and to assess the effectiveness of the gum in  
21 helping smokers quit.

22 (Slide)

23 As shown in this slide, after subjects were selected  
24 they were randomly allocated into either the Nicorette or the  
25 placebo gum group. After six weeks of treatment they were asked

1 to return for a smoking status evaluation -- this point right  
2 here. The study was then allowed to continue to completion.  
3 I was kept blind throughout the entire study. The purpose of  
4 my briefing today is to report six-week smoking-cessation data  
5 for your consideration and some other preliminary oral findings.

6 Of the 208 smokers recruited, 103 were allocated to  
7 the placebo group, and 105 to the Nicorette group. Throughout  
8 the study, efficacy was assessed by means of self-reporting and  
9 a breath test in which expired carbon monoxide was measured by  
10 an analyzer.

11 (Slide)

12 The base-line characteristics of the test and placebo  
13 subjects in our study were essentially comparable, although  
14 there were some marginally significant differences between the  
15 groups. The placebo group was several years older in age and  
16 had smoked longer by an average of two years.

17 However, it can be generally stated that the two  
18 treatment groups were found to be essentially homogeneous in  
19 character relative to demographic, clinical, psychological, and  
20 gum usage characteristics -- these were the two areas where  
21 the average age had a slight difference there and the average  
22 years of smoking here.

23 (Slide)

24 As shown in the next slide, the results are clear and  
25 straightforward. Incidentally, I would like to mention for the

1 group that we were mainly interested in the oral ill effects  
2 and the study was not designed primarily to determine quit  
3 rates. This was really a spinoff, because we were really  
4 interested initially and this sort of evolved.

5 At six weeks, the Nicorette group quit rate was 34.3  
6 percent versus 10.7 percent in the placebo group. This  
7 represents a threefold superiority of Nicorette over the  
8 placebo gum. Quit rates were defined by self-report and an  
9 expired carbon monoxide measurement in all cases. We just  
10 don't believe anyone who says he is not smoking, unless it can  
11 be verified.

12 At six weeks, around 70 percent of both groups were  
13 still chewing gum, with an average usage of seven to eight  
14 pieces a day. Although not shown here, and if you would care  
15 to later, we have some slides of 12-week data, but although  
16 we are not showing it here, the results after 12 weeks in the  
17 program also indicate a significantly higher quit rate for the  
18 Nicorette treatment group compared to the placebo group,  
19 although the quit rate in both groups was smaller.

20 We can ascribe this to the fact that a minimum of  
21 reinforcement occurred in our study as compared to the study  
22 previously described by Dr. Russell. In fact, our study was  
23 really a minimal intervention study in which they received one  
24 brief session with a facilitator to describe how to quit and  
25 given a pamphlet and so on, and after that, that was it.

1 Further, gum usage dropped off to an average of two  
2 pieces a day -- this was after 12 weeks. Consequently, moti-  
3 vation to quit smoking was probably considerably lower in our  
4 group of subjects.

5 (Slide)

6 The next slide shows the degree of nicotine dependence,  
7 which was measured by means of the Fagerstrom questionnaire,  
8 resulting in a Q score. Dr. Fagerstrom, who is in the  
9 audience, he would be glad to discuss this, I am sure, at a  
10 later time, if you so desire.

11 You will notice one thing needs some clarification  
12 up in here. "Hard to refrain from smoking in public" is how  
13 it should read. It was dropped out, those words.

14 This evaluation asks eight questions pertaining to  
15 the subject's smoking habits, which are then scored and totaled  
16 to yield a 0 to 11-point scale. Values greater than 6 are  
17 considered to be high nicotine-dependent smokers. Down in  
18 here we can see the scale -- the high nicotine-dependent  
19 smokers.

20 These would tend to represent more hard-core smokers.

21 (Slide)

22 Examination of data based on nicotine dependence are  
23 shown here. The low-dependent smokers achieved a quit rate  
24 of approximately 29 percent, while the high-dependent smokers  
25 achieved nearly a 46 percent quit rate. We found these to be

1 particularly interesting data, in view of the fact that people  
2 who tend to gravitate to clinics seem to be perhaps the more  
3 hard-core, dedicated, if you will, smokers. These data suggest  
4 that the more nicotine-dependent subjects have a greater success  
5 in quitting than the total population.

6 If we could have the lights, please.

7 Now, a few words about the dental findings which I  
8 think are important. Concerning the safety evaluation, a tally  
9 of adverse experiences shows that no unusual or serious side  
10 effects were found in the course of the trial. The reported  
11 adverse experiences identify nonspecific gastrointestinal  
12 symptoms, nausea and hiccups as reactions likely associated  
13 with Nicorette gum.

14 Mouth ulcers, called aphthous ulcers, as you are  
15 aware, jaw-muscle aches, appeared to be the result of active  
16 gum-chewing, whether it be with placebo or the nicotine  
17 gum. The data from the examinations, the dental data, we  
18 looked at four parameters.

19 We looked at gingival inflammation, dental plaque,  
20 calculus or pellicle, and staining data. We wanted to know  
21 whether the gum stained teeth, for example. These four  
22 parameters, although we have not completely analyzed these  
23 statistically, we have done a preliminary inspection of the  
24 mean values and standard errors, and we can support the  
25 following statement concerning the oral findings.

1           The base-line data. The group assignment procedure  
2 did provide two groups of smokers which were alike -- in other  
3 words, not significantly different -- at the outset of the  
4 trial with regard to these four variables. So, we had patients  
5 put into four categories based on the amount of stain, the  
6 amount of gingivitis and so on, all of the dental parameters.

7           We also had rather stringent requirements for entry  
8 into the study. They had to have so many teeth, so many front  
9 teeth, and not too much dental infections, and so on.

10           Test data. With regard to gingival inflammation,  
11 which we term gingivitis, those subjects who continued to smoke  
12 continued to have a slightly higher level of gingival inflam-  
13 mation than those subjects who quit smoking, regardless of  
14 whether they used the placebo or nicotine-containing gum.

15           On the other hand, there was no difference in the  
16 level of gingival inflammation between those subjects who used  
17 the placebo gum and those who used the nicotine-containing  
18 gum, regardless of whether they quit or continued to smoke.  
19 The same trend held partially true for the staining data.

20           Smokers had more stain than those who quit smoking.  
21 With regard to calculus and plaque, there was a definite trend  
22 for those subjects using the test gum who quit smoking to exhibit  
23 less plaque and calculus than any of the other groups of  
24 subjects.

25           To summarize, we found that Nicorette was demonstrated

1 to be an effective agent for smoking cessation during the  
2 period of time that we tested it. Further, our clinical  
3 evaluation of the oral cavity indicates that Nicorette does  
4 not cause detrimental changes in the mouth.

5 DR. MARTZ: Our next speaker is Dr. Robert Powell,  
6 who is Director of Regulatory Affairs for Merrell-Dow, and he  
7 will present the data on two additional studies that are part  
8 of our new drug application.

9 PRESENTATION OF DR. POWELL

10 DR. POWELL: Thank you, Bill. Dr. Cohen, Panel,  
11 as Bill mentioned earlier, our new drug application was  
12 submitted some time ago and contained some 14 clinical trials  
13 and approximately 1000 patients. Later on Bill will  
14 summarize the safety data from this submission. I would like  
15 to summarize just two additional studies that we think show  
16 the consistency of the action of the gum across studies.

17 The gum has indeed been on the market in several  
18 countries, also, and Bill will allude to that in terms of the  
19 safety of the compound. The first of the studies I would like  
20 to discuss was a study conducted by Dr. Karl Fagerstrom in  
21 Uppsala, Sweden. Dr. Fagerstrom, incidentally, is the  
22 individual who developed the nicotine-addiction rating scale  
23 that Dr. Christen used in his study.

24 In Dr. Fagerstrom's study, patients were randomly  
25 assigned to active Nicorette or matching placebo groups and

1 entered into a series of sessions of individualized counseling  
2 which was tailored to the needs of the patient by the counselor.  
3 Patients were given in the study chewing gum on the first visit  
4 and supplies were replenished ad lib for about four weeks.

5 Then patients were encouraged to reduce gum use,  
6 with the ultimate goal of total elimination of such use. The  
7 double-blind code was broken only after the patient had  
8 remained abstinent for three months, at which time most had  
9 ceased chewing the gum.

10 May I have the first slide.

11 The first slide summarizes the patient characteristics  
12 on entry into this study. You will note that they were  
13 essentially the same with respect to age, cigarette consumption,  
14 nicotine dependence, and number of years that they had  
15 smoked.

16 A total of 100 subjects were entered into this  
17 trial, 50 placebo and 50 Nicorette. Prior to the first  
18 meeting, 3 Nicorette and 1 placebo patient dropped out and,  
19 therefore, there were 47 Nicorette and 49 placebo subjects  
20 who entered the trial.

21 (Slide)

22 There was a comprehensive smoking-cessation program  
23 that was used, which consisted of 10 days of recording of tar  
24 and nicotine intake, a medical check-up, carbon monoxide  
25 concentrations pre- and post-cessation, review of motives,

1 feedback to the medical motives, recording of weight.

2 (Slide)

3 There were some fundamental premises that led to the  
4 study, that is, complete abstinence should be reached within at  
5 least 20 days of the program. Highly dependent nicotine  
6 subjects cannot take occasional cigarettes, gradual reduction  
7 is not a serious alternative to cold turkey, and a high degree  
8 of individualization was considered to be required.

9 (Slide)

10 Treatment components consisted of general medical  
11 health information, nonspecific support, encouragement, reinfor-  
12 cement, warm, accepting atmosphere, patient decided when he was  
13 going to quit, and education in self-control techniques.

14 (Slide)

15 The administration of Nicorette or placebo, sensiti-  
16 zation of how smokers relapse, personal contact for four to six  
17 months with six to 15 sessions, and after six months, partici-  
18 pants were requested to contact the clinic by post card as an  
19 additional reinforcement.

20 Patients had the opportunity to smoke whenever they  
21 desired at the clinic.

22 (Slide)

23 The results of this trial are depicted in this  
24 graph, which shows the high initial rate of smoking cessation,  
25 which deteriorates over 12 months, but the difference between

1 the control group and the drug group remains essentially the  
2 same as the failures developed.

3 (Slide)

4 The second trial that I would like to discuss briefly  
5 was a study conducted by Dr. W. M. Fee in Ninewell's Hospital,  
6 Dundee, Scotland.

7 (Slide)

8 This study was a double-blind placebo-controlled  
9 evaluation of Nicorette 2-milligram gum as part of an anti-  
10 smoking program consisting of 10 group therapy sessions over  
11 a five-week period, so this differed from the Fagerstrom study  
12 in that it was group sessions as opposed to individual sessions.

13 Three hundred fifty-two smokers entered into the  
14 trial and, following randomization, 180 received Nicorette  
15 gum, 172 received placebo. Gum was provided ad lib with the  
16 caution not to chew more than 20 pieces per day.

17 Verification of quitting was confirmed by carboxy-  
18 hemoglobin levels.

19 (Slide)

20 Of the 180 and 172, respectively, who started the  
21 trial, 114 and 90 completed the 10-session five-week program,  
22 63 percent of the Nicorette and 52 percent of the placebo group.  
23 Verified nonsmokers at this point were 46 percent in the  
24 Nicorette group versus 33 percent in the placebo group, statis-  
25 tically significant.

1 In addition to the information from these trials,  
2 safety information was gathered on up to 1000 patients in our  
3 NDA, and Dr. Martz will address that subject.

4 PRESENTATION OF DR. MARTZ

5 DR. MARTZ: In regard to adverse reactions, George  
6 Ohye is handing out a summary of our total NDA-covered  
7 experience on this first sheet, labeled Exhibit A, in the  
8 959 subjects receiving Nicorette gum as a part of our new drug  
9 application.

10 There was a relatively high incidence of, we feel,  
11 relatively mild kinds of side effects. Nicotine, as you know,  
12 is an irritating substance, and 13 percent of these people had  
13 some GI symptoms, 18 percent at some time or other complained  
14 of a problem with sore mouth or throat, 1 percent had hiccups.  
15 These are relatively innocuous side effects, but to be expected  
16 of a substance like nicotine.

17 On Exhibit B, at the request of FDA, we have divided  
18 the U.S. and British studies into those subjects reporting side  
19 effects on placebo and true gum, and I think you would agree  
20 that under the gastrointestinal side effects that eructation  
21 is higher in the true gum than in the placebo gum, nausea and  
22 vomiting more common in both the U.S. and British studies.

23 The British used a classification called "indigestion."  
24 It was twice as high in drug as in placebo. It is difficult,  
25 as you might guess, to separate air-swallowing and mechanical

1 problems of chewing -- there certainly is some soreness around  
2 the temporomandibular joints with vigorous chewing. Hiccups  
3 is a real phenomenon, probably both a central and a local  
4 component.

5 But, all in all, we feel that these side effects are  
6 the ones that would be expected and not at an alarming rate.  
7 We are prepared in proposed package literature, and certainly  
8 more work needs to be done in conjunction with FDA personnel  
9 on what sorts of questions should be incorporated in the  
10 package insert, we are certainly are prepared to say it should  
11 be used with caution in people with cardiac arrhythmias and  
12 other cardiovascular problems, pregnant women, lactating women.

13 We must caution people with esophagitis and gastric  
14 problems that they will get irritating effects from the gum.  
15 But we think the adverse reactions are a relatively mild  
16 problem in regard to this product. Mr. Chairman, this completes  
17 our presentation, and we are ready for questions, or however  
18 you want to do it next.

19 DR. COHEN: Thank you. I think we should have a  
20 short question period now, but please remember there are four  
21 more presentations and the questioning now should be directed  
22 only at the material that has been presented by Merrell-Dow.

23 I would like to ask the first question. What do I  
24 do with this placebo gum I have in my mouth?

25 (Laughter)

1 DR. OHYE: You can put it behind my ear.

2 (Laughter)

3 DR. LEBER: This will obviously come out in the  
4 discussion, but I just want to raise sort of a generic question  
5 to each of the people who presented data. You have things like  
6 percent quit and p values. Obviously, the percentage quit  
7 depends on a numerator and a denominator. It also depends  
8 upon what you declare an evaluable patient to be, and the p  
9 values that you get, that is, the estimate that these differences  
10 couldn't have been observed by chance, depends very much on the  
11 model you use to calculate.

12 So, if you look at the data presented, I just simply  
13 caution us, and I think I ought to go through each investigator  
14 and ask them what they used for the rule, because simply to say  
15 I had a percentage of quitters, without defining what you mean,  
16 without saying you are talking about the percent of those who  
17 began, the percent of those who completed, the percent of those  
18 who were available, there are a lot of missing data.

19 Also, you have to talk about time point. So, I am  
20 just -- I, at one point, wanted to ask specifically of Dr.  
21 Russell, in the figures that you presented that gave 50 percent  
22 differences, were you talking about percentage of those who  
23 entered, those who completed? I am getting a nodding to both.

24 DR. RUSSELL: Fifty percent of those who entered.

25 DR. LEBER: So, the denominator is those who entered

1 and the number of people that you still have contact with.

2 There is no adjustment for --

3 DR. RUSSELL: All our success rates, they are all  
4 based on those initially starting a treatment.

5 DR. LEBER: And the same question, of course, would  
6 be directed at the assessment of data that were presented.  
7 What were the calculations based on?

8 DR. CHRISTEN: We have used both determinations, but  
9 prefer to use the all patients that started the study -- all  
10 people who began the study -- and we believe we would rather  
11 err on the conservative side, realizing that ours was a minimal  
12 intervention type of clinic.

13 DR. LEBER: I had one other point which is just for  
14 the record -- I am sorry, Mr. Chairman. You presented data  
15 from the Indiana Dental Clinic on safety, which is yet to be  
16 presented to the Agency, and it is really not the proper  
17 subject for discussion -- not that we question your results,  
18 but we have not yet had a chance to review it.

19 So, the Committee should not really take that  
20 evidence into consideration as part of its assessment. It  
21 certainly is one of the items that remains to be resolved  
22 between the corporation and ourselves, if we do get to that  
23 stage.

24 DR. COHEN: Dr. Paul, and then Dr. Jones.

25 DR. PAUL: I am a little concerned that these studies

1 were really sort of placebo-controlled due to the incidence of  
2 side effects on placebo, which, presumably, these were informed  
3 consent studies and people knew what kinds of side effects they  
4 were going to have, if they had them, on Nicorette, and a lot  
5 of them, like hiccups and things, didn't occur at all in the  
6 other placebo groups.

7           How well did your patients -- how well could they  
8 predict whether they were on placebo, how accurately could they  
9 predict whether they were on placebo, or did you do any of those  
10 kinds of experiments? Because the differences, although they  
11 are significant, maybe twice in terms of abstinence, are still  
12 relatively small, and I am really concerned that these are real  
13 drug-placebo differences, drug/inactive-placebo differences  
14 versus active placebo, and I am curious as to whether patients  
15 could retrospectively reliably tell, or whether that was even  
16 possible in the design?

17           Presumaly it would be difficult, if there was a  
18 crossover.

19           DR. RUSSELL: We did not actually tell patients they  
20 were receiving the placebo. In a way, ours was better  
21 described as a dose-response study. We said we were trying  
22 out nicotine, would they enter for a trial of nicotine-containing  
23 chewing gum. We were interested in the success rate, and we  
24 were also trying out different strengths.

25           DR. PAUL: The patients did not know that they were

2024952181

1 potentially going to be in the placebo group?

2 DR. MARTZ: Maybe it is not fair that the placebo he  
3 used contained 1 milligram of unbuffered nicotine. I am not  
4 sure you were aware of that.

5 DR. PAUL: Right, but presumably that didn't cause  
6 the same sort of side effects as the 2-milligram Nicorette,  
7 in that the incidence of hiccups and many of these things were  
8 statistically different in certain groups. I guess any time  
9 I see a drug-placebo difference of this magnitude, I worry  
10 a little bit about whether an active placebo might have given  
11 you almost comparable results.

12 DR. RUSSELL: In some ways our whole analysis would  
13 have been much easier if we had been able to randomize by  
14 individuals rather than by groups, and one of the reasons we  
15 opted for randomizing by groups was that we felt we couldn't  
16 have individuals meeting in the same group who were having  
17 different gums.

18 So, I think, as far as the subjects were concerned,  
19 the patients were concerned, they had --

20 DR. PAUL: Let me just ask you, then, a general  
21 question. Do you think your patients knew when they were on  
22 placebo or when they were on Nicorette? I mean, you really  
23 think that they didn't?

24 DR. RUSSELL: No, I don't.

25 DR. JONES: I had two separate questions, or one is

1 sort of a series of little questions, about placebo-gum issue  
2 that I am increasingly getting confused and just a little bit  
3 concerned about. Perhaps Dr. Russell or Dr. McNabb -- what are  
4 the blood levels that one sees in the English placebo gum,  
5 that is, the unbuffered nicotine, 1 percent nicotine-containing?  
6 What are the trough levels?

7 DR. RUSSELL: We did not systematically study the  
8 trough levels of the unbuffered 1-milligram gum. We assumed  
9 it would be a good deal less than half the buffered 2-milligram  
10 gum. We did, however, just see whether extremely heavy use  
11 could perhaps generate levels that might have had some pharam-  
12 cological effect, and so we just did in one private testing  
13 someone who chewed one gum every half-hour for four hours,  
14 so eight gums in four hours, which would be the equivalent of  
15 about 30 a day, and that generated 19 nanograms per mil.

16 So, with that heavy degree of use, it is possible  
17 that they got a pharmacological effect. As it turned out,  
18 there weren't heavy placebo users like that.

19 DR. JONES: Of course, 19 nanograms would be in the  
20 range where you would expect an effect, since that is what  
21 the 2-milligram --

22 DR. RUSSELL: Once again, we thought that that would  
23 be a very conservative element. That is why, perhaps, we  
24 didn't look into it more stringently. They were getting some  
25 pharmacological effect --

1 DR. JONES: Related to that, is the nicotine in the  
2 unbuffered gum in the form of base or is it a salt? Or, for  
3 that matter, is it a salt or a base in the resin complex?  
4 That would have great relevance in terms of whether the  
5 buffering is all that important or not.

6 DR. RUSSELL: I would rather let someone --

7 SPEAKER: The nicotine is bound to an ion-exchange  
8 resin, and that holds true for the active preparation used in  
9 Dr. Russell's study and for the placebo used in his study.  
10 So, there is no difference in that respect.

11 DR. JONES: In terms of how it would dissociate in  
12 either acetic or basic media, would it make any difference?

13 SPEAKER: The dissociation would not be the difference  
14 between the active and the placebo. It is the absorption that  
15 is the difference, the active being buffered to pH 8.

16 DR. JONES: Related to the placebo gum, I am struck  
17 on the side effect list and then in the material we were  
18 provided that the low-dose gum, I prefer to call it, and the  
19 real placebo, both produced a surprising, to me, number of side  
20 effects that maybe are unrelated to the nicotine in the gum,  
21 and I say this in that some of these side effects, certainly  
22 the apthous ulcers, the hiccups to some extent, some of the  
23 other effects, rarely are encountered in people chewing  
24 tobacco.

25 Now, maybe the tobacco chewers may be quite a

1 different situation, but the one thing in common is the  
2 nicotine. It seems like even the nonnicotine-containing placebo  
3 gum may have some mild, albeit, toxicity of its own. I don't  
4 know if that has to do with flavoring agents, or whatever,  
5 but it certainly confounds things.

6 My third question, and the last one, Dr. Chairman,  
7 is that I am surprised at the quit rates in the Russell study  
8 at the early point, which seems to be the most impressive  
9 demonstration in the placebo group, or the low-dose group, if  
10 you prefer. Twenty-four percent of the smokers were abstinent.  
11 Now, maybe these are different quit rates -- you know, we are  
12 using different criteria for quit rates -- but I assume there  
13 was an ancillary treatment; that is, these people were meeting  
14 in weekly groups, besides getting the gum.

15 That 24 percent is low compared to most studies I am  
16 aware of, certainly, in this country, and even if you compare  
17 it to Dr. Christen's data, where there was minimal intervention  
18 besides the gum, they had a 34 percent quit rate at that early  
19 point. Was there something funny or strange about the low-  
20 dose placebo group in your study, Dr. Russell, or am I being  
21 just a little bit too harsh?

22 Isn't that an unexpectedly low response? And if  
23 you had a real placebo, might there be a higher response, the  
24 logic being that the low-dose gum doesn't provide enough  
25 nicotine to really be therapeutically effective as compared

1 to the high dose, but it contains enough nicotine to be  
2 aversive and maybe taste a little worse, et cetera, than if a  
3 real placebo would be done?

4 I am just trying to build a case where does one really  
5 need a real placebo treatment to make sense of your data?

6 DR. RUSSELL: No, I don't think so. I don't think  
7 the success rates of the placebo group were atypical of the  
8 support control groups that we have used in previous studies.  
9 I think it is difficult to compare success rates in different  
10 groups of clientele.

11 People who come into our clinic are obviously a  
12 different kind of person than in other studies, so I think you  
13 can only be happy comparing the same population who have been  
14 subjected to different treatment processes. I don't think our  
15 placebo group was at all atypical of our clientele.

16 If I could just come back to that question you  
17 raised just before about the side effects on the placebo gum.  
18 Quite a number of those side effects were related to chewing,  
19 and anyone who is going to be chewing a lot and are not used  
20 to chewing, might have sore tongue and burning throat for a  
21 little while.

22 But, again, these symptoms need only to be recorded  
23 once in six weeks. There was a checklist providing all the  
24 suggestability elements. They had a checklist listing all  
25 these symptoms, and they just had to put a tic if they felt

1 any of them at all. Now, I suggest that if you have got a  
2 group of heavy smokers, mainly middle-aged, and you got them  
3 to rate over six weeks and you gave them a checklist of things  
4 like headache, feeling faint, a lot of them might say so.

5 As regards mouth ulcers, mouth ulcers is actually  
6 a known side effect of giving up smoking with no gum at all,  
7 so I don't think there is any real evidence that placebo was  
8 having significant side effects due to the small dose of  
9 nicotine it contained.

10 DR. COHEN: Thank you. Dr. Leber, and then Dr.  
11 Wallenstein.

12 DR. LEBER: I would like to make a point of something  
13 that I consider us, as the Agency, somewhat expert on, and  
14 that is the issue of how reliable are the incidence numbers  
15 one obtains in controlled clinical trials for any type of  
16 adverse reaction associated, presumably, with product use.

17 I will tell you, we are impressed always by the large  
18 variation. If you look at page 2 of B, the sponsor's handout,  
19 you will see what things like criteria variance, just not  
20 using the same terminology, will do to a particular rate. For  
21 example, look at headache, the incidence in the United States  
22 versus the incidence in the British studies.

23 This isn't unusual even when you are using the  
24 product in many different centers, and one of the reasons  
25 recent adverse reaction sections of product labelings emphasize

1 that one cannot compare two products or even two different  
2 centers too easily from these incidence data sets is that  
3 because of the variation, depending on how you inquire, how  
4 you define, how frequently you ask, whether you use systematic  
5 survey or casual reporting, all influence incidence rates.

6 So, we have taken the stand that only if you use  
7 trials of the same design, really poolable by the same rules  
8 that you would pool efficacy trials, can you fairly really  
9 compare incidence rates of ADRs.

10 Otherwise, they vary all over the lot, so I wouldn't  
11 be too impressed by them. Certain things do stand out in this  
12 list, that there are things that happen, for example, like  
13 hiccups, that do not in both countries happen with the so-called  
14 placebo gums.

15 But I think there is great variation in rates of  
16 ADRs.

17 DR. WALLENSTEIN: I have some questions for Dr.  
18 Russell concerning the cohort design. I presume that patients  
19 were assigned to the cohorts in groups, so that they could  
20 participate in group support and therapy, and this can in a  
21 study produce placebo effect, halo effects, where you have  
22 individual groups being affected by various methods in that  
23 group.

24 Now, what I was wondering was whether or not you  
25 have analyzed the data in terms of the responses within each

1 of the 12 cohorts to see how consistent responses were within  
2 the cohort, because one of the things that can happen is that  
3 one or two cohorts can influence your total data one direction  
4 or another, and from the way the data were presented we have no  
5 way of knowing this.

6 DR. RUSSELL: If I may, Mr. Chairman, I would ask my  
7 colleague, Dr. Jarvis, to address this point.

8 DR. JARVIS: We were, of course, aware of the possi-  
9 bility that you could get group effects like this, so we  
10 looked at possible between-group differences in the process  
11 measures we took during group meetings, their rates with the  
12 gums or withdrawal, and we found no significant differences  
13 there, nor did we find any significant group differences in  
14 outcome in the early stages of treatment.

15 So, we didn't find any evidence for those group  
16 processes affecting major variables in a way that would  
17 suggest -- nor, in fact, did we find between-group differences  
18 in pretreatment patients.

19 DR. LEBER: Partly speaking to your question, though,  
20 is the analysis used by Drs. Russell and Jarvis is not the  
21 analysis that is used by the FDA. When we present the FDA's  
22 analysis of the data, we will try to take into account the  
23 method of randomization for cohort in our analysis, and we will  
24 explain why.

25 I think it does deal in part, not with all of your

1 question, but perhaps you can then ask it of our statisticians.

2 DR. COHEN: Jo Ann?

3 DR. NUIT: Relative to that, I believe, if I inter-  
4 preted your data properly, you had six different sessions where  
5 people could come back, your cohorts, but you had a mean of  
6 2.4 that people actually did come back. That is less than  
7 50 percent of the sessions they attended.

8 So, how do you interpret that in terms of the  
9 efficacy of whatever therapy you were giving them or whether  
10 there were any cohort effects? In other words, whether certain  
11 cohorts liked to come back together. Did you analyze that?  
12 Did certain cohorts all come back and other cohorts hate each  
13 other, so they didn't come back? Was there any of that kind  
14 of effect, such as what Stanley is talking about?

15 DR. RUSSELL: Certainly some cohorts were better than  
16 other cohorts in terms of the group process and their sticking  
17 together. One cohort in particular had a very high success  
18 rate and also the attendance rate was generally the most  
19 successful of all cohorts. The attendance rate is composed  
20 not only of those who attended any, but also includes those  
21 who dropped out before attending any group sessions. So, that  
22 dilutes the overall average attendance somewhat.

23 DR. NUIT: Do you think the validity of showing those  
24 data as a mean, though, makes any sense? For me, to see a  
25 mean when you have cohorts, and you are expressing it as

1 individuals, really. What you really have is cells of  
2 individuals. Aren't those data a mean of individuals --

3 DR. RUSSELL: The 2.4 is a mean across all individuals.

4 DR. NUIT: Well, what if you took the mean of  
5 cohorts?

6 DR. RUSSELL: I don't believe that we have analyzed  
7 the data in that way. Perhaps the FDA has, or others, but  
8 we haven't done that.

9 DR. LEBER: We didn't do that specifically, but we  
10 are trying to look at it as a cohort model of treatment,  
11 because presumably -- I don't want to steal Dan Marticello's  
12 thunder, and I won't -- he is going to try to decide and  
13 explain to the Committee why we believe what the data are and  
14 what they are useful for. It may not be precisely what Drs.  
15 Russell and Jarvis believe, but I think it comes out in a  
16 given direction, and I think the Committee is anticipating  
17 many of the things that the FDA went through when first look-  
18 ing at these data, and maybe it is appropriate to hear the  
19 FDA's analysis and then come back to the Committee discussants.

20 DR. COHEN: I agree. I think you are quite right  
21 that we ought to go forward. First, I would like to hear a  
22 last question from Dr. Jasinski.

23 DR. JASINSKI: Just in terms of perspective, I too  
24 have tried the gum with the nicotine, and this is sort of a  
25 simple-minded question to put some of this in perspective from

1 Merrell people. If somebody would have had 4 milligrams of  
2 nicotine available from a piece of chewing gum or 2 milligrams,  
3 if that is marketed, if someone goes into the store and buys  
4 some chewing tobacco and takes a wad of chewing gum of normal  
5 size, how much nicotine is available to them buccally in that  
6 instance?

7 DR. McNABB: May I have slide 69, please?

8 (Laughter)

9 I am offering a comment or two that Dr. Ebert was  
10 going to make in his remarks. We do see a number of tobacco  
11 chewers on the ward of our VA hospital, and about half of  
12 those people are chewing tobacco because that is the way they  
13 stopped smoking cigarettes, and the other half have been life-  
14 long chewers of tobacco.

15 We have drawn blood specimens while the individual  
16 was chewing tobacco from 20 to 25 individuals and the mean  
17 of those afternoon plasma nicotine levels, while the person  
18 had the chew in his mouth, was 24 nanograms per milliliter for  
19 20 to 25 subjects.

20 (Slide)

21 Now, this particular slide here, these are studies  
22 from two different individuals, plasma nicotine on the Y-axis  
23 and time on the X-axis. I don't have the pointer, but the  
24 solid line represents an individual putting in a chew of  
25 Red Man Chewing Tobacco at 0 minutes, an ordinary chew, and

1 then starting what he usually does with his chewing tobacco,  
2 and you see that curve over a period of 60 minutes.

3 Then the dashed line represents an entirely different  
4 individual chewing one piece of 4-milligram chewing gum. So,  
5 it seems that the chewing tobacco may even create a level a  
6 little faster and perhaps a little higher, or about the same  
7 as the 4-milligram gum.

8 DR. COHEN: Thank you. At this time we would like  
9 to hear from Dr. Frank Vocci on his impressions of this sub-  
10 mission.

11 PRESENTATION OF DR. VOCCI

12 DR. VOCCI: The first thing I am going to do is  
13 review the chronology of the submission and discuss what FDA  
14 said about the Fee and Fagerstrom studies.

15 (Vu-graph)

16 The initial submission came in March 17, 1981 and,  
17 as Bob Powell told you, there were 14 studies submitted, and  
18 this was the review by Dr. Barrett Scoville. Twelve of these  
19 14 studies were rejected either for lack of efficacy or critical  
20 flaws in either design, conduct, or analysis. This left us  
21 with two studies that Barrett pegged out to show some evidence  
22 of efficacy. These were the Fee study and the Fagerstrom study.

23 The Fee study I will discuss first. We commented  
24 to the sponsor regarding the deficiencies in the Fee and the  
25 Fagerstrom studies in the not approvable letter of July 23rd.

1 Merrell-Dow at that point requested a meeting, and they met  
2 with FDA on August 12, 1982. At that meeting we discussed the  
3 requirements for NDA approval and the following agreements were  
4 reached.

5           The Fee and the Fagerstrom studies would not serve  
6 as primary evidence or, in FDA terminology, as pivotal studies  
7 to determine Nicorette's efficacy. Two adequate and well-  
8 controlled studies, and I emphasize the plural there, would be  
9 required.

10           The Company said that it already had obtained the  
11 data from Dr. Russell, the 12-month study, and asked that one  
12 U.S.-based study be done, and this was the study done by Dr.  
13 Christen. At that point in the meeting we decided that we had  
14 to come up with a definition of a smoking cessator, what do  
15 you mean when you say someone has quit smoking versus a  
16 smoker who quits and then backslides into smoking again.

17           We came up with the following operational definition.  
18 A person was declared a cessator if he stopped smoking within  
19 24 hours of study and remained abstinent until the rating  
20 period. Those failing to stop smoking within 24 hours or who  
21 lapsed from abstinence prior to the rating would be considered  
22 treatment failures.

23           The claims of smoking cessation would be verbal self-  
24 report with a carbon monoxide verification. The primary  
25 efficacy variable to be decided in the studies was defined as

2024952194

1 a statistically significant difference in proportion of  
2 subjects who achieve cessation on drug compared to placebo  
3 at one month after initiation of treatment. Now, obviously,  
4 you have heard the studies that were given today. Dr. Russell  
5 encouraged his people to stop smoking within three days, so  
6 there is obviously one deviation, but this was done and I don't  
7 think that is a critical deviation at all.

8 Dr. Russell did not do carbon monoxide verification  
9 at the one-month or the six-week time point, and I don't think  
10 that is critical. In the Christen study it was done.

11 (Vu-graph)

12 This is the Fee study. The design was a random  
13 double-blind randomized trial comparing Nicorette versus  
14 placebo. One of the problems with analyzing this was again  
15 alluded to by Dr. Powell. Dr. Fee sent the data directly to  
16 FDA and a protocol was not submitted with the application.

17 It becomes a little difficult to decide exactly  
18 whether or not the randomization, for example, was performed  
19 correctly. Dr. Fee did not send in his method of randomization,  
20 so there is no way of knowing. There are 352 patients in  
21 three different cohorts.

22 It was a five-week study and the efficacy criteria  
23 in this study, patient diaries were evaluated for smoking  
24 cessation, carboxyhemoglobin levels, and urinary nicotine.  
25 Other data gathered were adverse effects, the amount of gum

1 used per day and the feelings of the patients about the gum.

2 Nicorette was superior to placebo with respect to  
3 dropout rate, which is not a good primary efficacy variable,  
4 but it was also superior with respect to those claiming absti-  
5 nence as a percent of starters, those claiming abstinence as a  
6 percent of completors, and one odd or curious result that  
7 shows why you do need some biochemical validation was that  
8 there was a differential deception rate; that is, 26 percent  
9 of the group who claimed to quit who were on Nicorette actually  
10 had not quit, and 12 percent on the placebo who claimed to  
11 quit were also still smoking.

12 Dr. Scoville's comments were directed toward the  
13 method of randomization, which is really unknown, and there was  
14 a question about the adequacy of the blinding, because these  
15 patients were, again, in cohorts and there is a question as  
16 to whether or not the patients could have broken the blind.

17 We could possibly get Dr. Fee to address these  
18 issues, but we haven't as yet. Dr. Hauptman is in the audience  
19 here, the statistician who did the first analysis, and he came  
20 up with some comments, also. He thought the biochemical  
21 validation data were confusing.

22 A certain subgroup had biochemical analyses, 145, and  
23 207 patients did not. We had some questions as to which ones  
24 and why and of the group who didn't have the analyses, how do  
25 you verify that they really had quit, given the fact that in

1 those that you did validate, you had a differential deception  
2 rate.

3           The second question that Larry brought up was, again,  
4 a cohort question. Here is a study that is designed as a  
5 cohort study and they were apparently analyzed by individuals.  
6 We thought the study should be reanalyzed taking into account  
7 the patients were in three separate cohorts.

8           (Vu-graph)

9           The Fagerstrom study. This was double-blind randomized  
10 trial, blocks of 10 patients randomized, comparing Nicorette  
11 versus a flavored placebo in a smoking cessation program.  
12 I think this has the obvious strength of having a flavored  
13 placebo.

14           There were 100 patients in the group, six-month  
15 treatment with six-month follow-up, and the follow-up was  
16 apparently done by post card.

17           DR. POWELL: After the six months, yes.

18           DR. VOCCI: The efficacy criteria in this study were,  
19 number one, retention in treatment, completion of six-months  
20 of treatment and return for follow-up. This was one that we  
21 had a little difficulty with. Return to old smoking habits.  
22 This was determined monthly.

23           We weren't quite sure what Dr. Fagerstrom meant by  
24 that. For example, did this mean total abstinence, or could  
25 someone go from being a two-pack-a-day smoker to a 2-cigarette-a-d

1 smoker and still be considered a treatment success? That is  
2 just one kind of question. Abstinence claims were verified  
3 by end-expired carbon monoxide concentrations, which had to be  
4 less than 4 parts per million, which I think is pretty  
5 stringent.

6           The results, this is at six months, in the Nicorette  
7 group were that 13 of 49 had returned to smoking and, in the  
8 placebo group, 27 of 49 had returned to smoking. The  
9 dependence questionnaire, which has been alluded to by Dr.  
10 Powell and shown by Dr. Christen, the Q score for determination  
11 of biological nicotine dependence was used in this study.

12           Dr. Scoville had the following comments. He was  
13 unclear as to what the definition of recidivism really meant.  
14 He wanted to know how often carboxyhemoglobin was measured,  
15 what the dosages of Nicorette were, and the intervals at which  
16 the gum was discontinued, and he also had a question about the  
17 quality control of the tabulated data versus individual  
18 records.

19           Dr. Hauptman's comment was again directed towards  
20 carboxyhemoglobin. He wanted to know whether a single or  
21 multiple analyses of carboxyhemoglobin were performed. Again,  
22 I will redirect you up to efficacy, comment B, the return to  
23 old smoking habits was determined monthly, and we are unclear  
24 as to whether biochemical validation was obtained monthly or  
25 only at the six-month time point.

1 Dr. Fagerstrom is here and he may want to address  
2 some of these issues.

3 (Vu-graph)

4 This is the Christen study. As Dr. Christen pointed  
5 out, this is really a modified protocol on dental pathology  
6 parameters that he had originally started. The modification  
7 was incorporated on July 27th of last year, and the modifi-  
8 cation was to have participants return at six weeks to assess  
9 smoking behavior.

10 Dr. Christen already had designed, as he told you,  
11 a double-blind placebo-controlled randomized trial in a smoking-  
12 cessation program. The smoking cessation, as he said, was  
13 really the, the program aspect, was rather minimal. There  
14 was a psychological intervention and a videotape viewing and  
15 counseling from the American Cancer Society.

16 At that point the patients were allocated to either  
17 Nicorette or placebo gum. This was a true placebo, there was  
18 no nicotine in the placebo gum. The number of study partici-  
19 pants, there were actually 250 study participants, 200-plus  
20 received gum.

21 The efficacy parameters were those which FDA and  
22 Merrell-Dow had agreed upon, verbal self-reports of abstinence  
23 validated by end-expired carbon monoxide concentration, in this  
24 case less than 8 parts per million. Other data gathered were  
25 the Fagerstrom Q scores for nicotine-dependence and the amount

2024952199

1 of gum usage.

2 Now, some of the data. The dropout rates were not  
3 significant. This is something we always look at. The placebo  
4 dropout rate was 8.7 percent, Nicorette, 12.4 percent. Sample  
5 sizes by Q stratum or dependent stratum, there is a slight  
6 tendency here, which is marginally significant, the Nicorette  
7 group had a greater number of highly dependent subjects than  
8 the placebo group.

9 (Vu-graph)

10 If you look at the demographic and behavioral  
11 characteristics across groups, as Dr. Christen told you, the  
12 Nicorette group was somewhat younger, marginally significant,  
13 and they had less years of smoking, again at marginally sig-  
14 nificant level.

15 I guess the main point I would like to stress is that  
16 these people were chronic smokers, you are talking 15.2 years in  
17 the Nicorette group versus 17.6 years for the placebo group.  
18 If you look at gum usage as a function of dependence stratum,  
19 the high-dependence Nicorette group chewed the gum more often.  
20 That is, I guess, an indirect measure. I guess you can  
21 interpret that a couple of ways, but I found that kind of  
22 interesting, it is 9.7 versus 6.3 sticks per day, and that  
23 was highly significant using a t-test.

24 Looking at the primary variable, abstinence for  
25 six weeks across groups, the Nicorette group had a 34.3 percent

1 abstinance rate versus a 10 percent abstinance rate for  
2 placebo. Using chi-square analysis, this gave a significance  
3 probability at the .001 level. If you break it down and  
4 as abstinance as a function of the dependent subgroups, as Dr.  
5 Christen told you, the drug appears to be more efficacious in  
6 the high-dependence group, but still shows efficacy in the  
7 low-dependence group.

8 (Vu-graph)

9 Now, the Russell study. Most of what we got from the  
10 actual design was from the British Medical Journal publication  
11 by Drs. Russell, Jarvis, and associates. I guess perhaps I  
12 should explain that FDA usually previews protocols and in this  
13 instance obviously we could not, because they study had already  
14 been completed.

15 As Dr. Russell told you, in the academic setting he  
16 and his colleagues had a handwritten protocol which they  
17 followed. There was some question about the randomization  
18 scheme. It actually was submitted by the sponsor. This was  
19 a classic card shuffle without replacement for the clinical  
20 trials. This is a very acceptable method.

21 Each cohort, which consisted of, again, about 10  
22 patients, was assigned to either Dr. Jarvis or Dr. Raw, and  
23 each patient in the cohort received the same treatment, either  
24 Nicorette or thel-milligram unbuffered gum.

25 Now, I think in this type of a design it is more

1 critical to have, number one, the cohorts all receive the  
2 same treatment, and possibly to have a quote-unquote active  
3 placebo, because the patients were going to be meeting weekly  
4 for six weeks, as opposed to the Christen study, where the  
5 patients came in, received their treatment, and came back six  
6 weeks later.

7           So, there was -- what you would surmise from that,  
8 I think, was that there was a lack of a group effect or a  
9 potential group effect of breaking the blind in the Christen  
10 study, whereas the Russell study you would be concerned with  
11 the patients breaking the blind, and I think Dr. Russell opted  
12 to do it in this manner.

13           There is a secondary concern of the therapist  
14 possibly breaking the blind, and I think Dr. Marticello is  
15 going to speak to that. There are 58 patients per group here,  
16 which is three groups per treatment per therapist. Chemical  
17 verification was done in a nonsystematic way; it was used as a  
18 motivational tool.

19           The subjects were told that Dr. Russell had a  
20 "chemical lie detector" to ensure accuracy of self-reporting,  
21 and they used end-expired carbon monoxide.

22           The efficacy analysis in this instance was abstention  
23 from one week to week four. This was the primary efficacy  
24 variable. We had agreed to the one-month time point as opposed  
25 to the one-year time point in that meeting in August of last

1 year.

2 Now, we get to the analyses. The demographic and  
3 pretreatment smoking characteristics, the Nicorette group  
4 smokes slightly more cigarettes. If you look at the proportion  
5 of gum users by group or by therapist, there were no significant  
6 differences.

7 These are the success proportions as a function of  
8 therapist and cohort and also treatment and, Dr. Wallenstein,  
9 you can see that one group here and the Nicorette group did  
10 have a very high success rate, an 80-percent success rate.  
11 The success rates in the Nicorette groups went from 22 to 80  
12 percent; in the placebo groups went from 10 to 40 percent.

13 (Vu-graph)

14 If you look at overall abstinence proportions, and  
15 these were analyzed by individuals rather than cohorts, there  
16 is no difference across therapists, but there is a difference  
17 across gums. Nicorette has approximately a twofold increase  
18 in abstinence proportions.

19 These data are categorical data and if you look at  
20 an odds ratio, you get 3.28. If the cohorts were pooled, a  
21 Mantel-Haenzel yielded a 6.54, a one-sided significant value  
22 of .005. There is a question as to whether or not the statis-  
23 tical analyses should have been one-sided or two-sided, and my  
24 feeling was that the hypothesis here was that Nicorette would  
25 increase the likelihood of smoking cessation; we were not

1 looking at a null hypothesis in terms of whether Nicorette  
2 would possibly decrease or equally likely cause a decrease in  
3 smoking cessation. So, I thought a one-sided significance  
4 analysis was appropriate.

5           There was an alternative analysis done, a weighted  
6 least-squares analysis, performed by the Company, with cohort  
7 replicates, because of the large difference in cohort success  
8 rates. And here, again, it shows a significant effect at the  
9 one-month time point by gum, but not by therapist or lack of  
10 gum-therapist interaction.

11           When the cohorts were pooled, this also yielded a  
12 significant value by the gum but not by therapist or lack of  
13 -- also not significant. There were some questions that our  
14 statisticians had about the analysis of the Russell study, and  
15 Dan Marticello is going to go over that. He will actually  
16 give his reanalysis of the Russell data.

17           But I think our Division has concluded that the  
18 Christen and Russell studies are adequate and well-controlled  
19 studies which demonstrate the efficacy of Nicorette.

20           Before Dan gets up to speak, Dr. Dassler is also  
21 going to discuss some of the side effects.

22           DR. COHEN: Dr. Dassler --

23           DR. LEBER: It might be more useful, actually, to have  
24 Dan go, because he is looking at efficacy data, and have  
25 Brigitta -- that is what I had hoped I could do, because I

1 think yours will logically follow Frank's.

2 PRESENTATION OF DR. MARTICELLO

3 DR. MARTICELLO: I will start off by considering  
4 the domestic study conducted in Indiana. As has already been  
5 mentioned, 208 smokers were randomized to placebo, 103  
6 subjects, or Nicorette, 105 subjects. Twenty-two subjects,  
7 13 on Nicorette and 9 on placebo, dropped out of the study and  
8 did not participate in the six-week evaluation.

9 Of these 22 subjects, 17, 7 receiving placebo, 10  
10 receiving Nicorette, did not receive any treatment. Subjects  
11 that self-reported as not smoking and who were evaluated with  
12 an expired carbon monoxide level of less than 8 parts per  
13 million at the six-week visit were considered successes, all  
14 others as failures.

15 Based on these criteria, the sponsor reported that  
16 36 Nicorette and 11 placebo subjects had successfully quit  
17 smoking. In my analysis, I excluded the 17 pre-gum dropouts  
18 from the analysis, those 17 subjects that did not receive any  
19 treatment.

20 In doing that, I obtained smoking cessation rates of  
21 37.9 percent, that is 36 of 95, for the Nicorette group, and  
22 11.5 percent, that is 11 of 96, for the placebo group, a highly  
23 significant difference, a p value of one in 50,000, in favor  
24 of Nicorette.

25 In this analysis, the 5 remaining dropouts -- recall

1 I said there were 22 -- were treated as failures, an assumption  
2 that does not alter the highly significant results detected  
3 in favor of Nicorette. In total, 62 subjects, 44 on Nicorette,  
4 18 on placebo, p value of one in 10,000, reported that they  
5 were not smoking at six weeks, although, as I have already  
6 mentioned, only 47 of these were validated by the expired  
7 carbon monoxide test of less than 8 parts per million.

8 I conducted a further analysis which indicated that  
9 the significant results obtained with respect to the non pre-  
10 gum dropouts are not sensitive to the less than 8 parts per  
11 million criteria. The reason I did this is because I didn't  
12 find any mention in the protocol of this cutoff, 8 parts per  
13 million, but no matter where I put the cutoff, it doesn't  
14 affect the results of highly significant differences in  
15 favor of Nicorette.

16 As far as side effects go, 6 Nicorette subjects  
17 reported hiccups at the six-week visit, no such reports from  
18 the placebo subjects, a p value of .013. In addition, at two  
19 weeks, significantly more, p value of .001, Nicorette subjects  
20 reported hiccups and nausea than did their placebo counterparts.

21 However, in comparing success proportions between  
22 subjects who experienced side effects and subjects that did  
23 not, I did not detect any differences which might indicate  
24 that the blind had been broken, which was one of our initial  
25 concerns.

1 So, as far as the domestic study is concerned, in  
2 conclusion, based on the data supplied by the sponsor, a sig-  
3 nificant difference in favor of Nicorette 2-milligram chewing  
4 gum over a placebo chewing gum was demonstrated with respect  
5 to six-week quit proportions.

6 One other concern I had was that neither the protocol  
7 nor the study report submitted by the sponsor indicated whether  
8 or not there was a discernable difference between the taste of  
9 Nicorette and placebo chewing gums. This is a factor that  
10 could possibly have affected the blindedness of the study.  
11 I guess some individuals have tasted the placebo already,  
12 although not the Nicorette.

13 That concludes my remarks on the Indiana study.

14 DR. COHEN: Thank you. Dr. Fagerstrom has to leave  
15 early and would like to respond to some of the remarks already  
16 made.

17 DR. LEBER: He is not finished with his study.

18 DR. COHEN: Oh, I am sorry. I thought I heard you  
19 say --

20 DR. MARTICELLO: That is just with respect to the  
21 Indiana study.

22 Okay, on to the Russell study. As has already been  
23 mentioned, a total of 116 smokers, 58 receiving the Nicorette  
24 2-milligram chewing gum, the other 58 the unbuffered nicotine  
25 1-milligram chewing gum, were randomized by cohorts rather

2024952207

1 than by individuals. Each of two therapists was randomly  
2 assigned to six cohorts, three on each treatment.

3 The cohort sizes ranged from 8 to 11. Initially,  
4 each investigator was assigned four cohorts, and this was  
5 subsequently increased to six. Smoking cessation proportions  
6 were statistically analyzed at the one-month and one-year  
7 evaluation points.

8 The sponsor defined an abstainer as one who had  
9 been substantially free from smoking throughout the study  
10 period from week two to the evaluation time of interest, and  
11 a quitter as one who was not smoking at the time of evaluation,  
12 but who had not necessarily been abstinent throughout the  
13 prior study period.

14 At the one-month evaluation point, which we are  
15 concerned with today, only abstainers were considered.

16 The sponsor reported that 11 subjects, 6 on Nicorette,  
17 2 milligrams, and 5 receiving the unbuffered nicotine chewing  
18 gum, were lost to follow-up and were considered treatment  
19 failures. Consequently, the one-month abstainer proportions,  
20 as you have already heard, are 48 percent, 28 of 58 for the  
21 Nicorette, 2 milligram, group versus 24 percent, 14 of 58,  
22 for the 1-milligram unbuffered nicotine group.

23 This resulted in the sponsor reporting a one-sided  
24 Mantel-Haenzel p value of .005 in favor of Nicorette. However,  
25 we felt that this method of analysis is incorrect in that it

2024952208

1 assumes that patients are independent of each other, which is  
2 not the case, due to the method of randomization employed --  
3 remember we randomized by cohorts. For this reason, in order  
4 to determine if a dose-response existed, I conducted a  
5 Wilcoxon ranked-sum test on the cohort success proportions,  
6 treating the 12 cohorts as the experimental units and ignoring  
7 therapists, because there does not appear to be a therapist  
8 effect.

9 In this case, I obtained a one-sided p value of  
10 .032 in favor of the Nicorette 2-milligram chewing gum. I  
11 also noted that the largest differences between treatments and  
12 the number of side effects reported at least once during the  
13 first six weeks of treatment were with respect to hiccups,  
14 29.8 percent versus 4.5 percent, p value, one-sided, of .027;  
15 indigestion, 51.1 percent versus 27.3 percent, one-sided p  
16 value of .047.

17 The higher percentages, now, are associated with the  
18 2-milligram treatment group. Nausea, 38.3 percent versus  
19 20.5 percent, a one-sided p value of .066. This raised the  
20 concern of whether or not the blind was affected by these  
21 different side-effect-incidence rates.

22 But in performing an analysis, I did not detect,  
23 a p value of .36, any indication that the side-effect incidences  
24 influenced success proportions. Consequently, it does not  
25 appear that the blindness of the study was influenced by side

1 effect rates.

2 In conclusion, my analysis of the Russell study  
3 results in a marginally significant difference, a one-sided  
4 p value of .032, in favor of the Nicorette 2-milligram chewing  
5 gum with respect to one-month abstainers.

6 DR. BALSTER: Did you look at any time at the  
7 effect of the number of sessions, even on an individual basis,  
8 with respect to outcome?

9 DR. MARTICELLO: No.

10 DR. COHEN: Do you have anything further?

11 DR. LEBER: There is an explanation for that.

12 The sessions were every six weeks, every week for the first  
13 six, so you could have looked at three by our time, a four-  
14 week analysis.

15 DR. MARTICELLO: We looked at four weeks, yes.

16 DR. LEBER: So, there were only three sessions for  
17 those four weeks.

18 DR. COHEN: Does that conclude your report?

19 DR. MARTICELLO: Yes, it does.

20 DR. COHEN: Dr. Fagerstrom?

21 DR. FAGERSTROM: Thank you very much for allowing me  
22 to speak at this time. Unfortunately, I have to leave this  
23 interesting meeting, and I think it will be even more interesting  
24 as the time goes on. I think I could clarify some of the  
25 questions that were raised according to my study.

2024952210

1           According to dosage, it was only 2-milligram gum used  
2 in my study, just 2 milligrams. Carbon monoxide tests, when  
3 were they made? It was always carbon monoxide values taken  
4 before smoking cessation, just before and just after, but that  
5 was for therapeutic reasons to show the patients what was  
6 happening.

7           But for reasons of control, it was made at six  
8 months. The carbon monoxide test was made on every patient  
9 at six months. By return to old smoking habits, which is an  
10 unprecise statement, I apologize for that, I mean that almost  
11 every one of those who didn't succeed to abstain returned to  
12 their old smoking habits.

13           It was very, very few that reduced their smoking for  
14 any long time.

15           DR. VOCCI: The question was whether this return to  
16 old smoking habits was taken in some sort of a continuum sense,  
17 a qualitative continuum, or were these people totally abstinent  
18 at six months?

19           DR. FAGERSTROM: Those were counted as successes.  
20 I haven't applied the same conservative and stringent criteria  
21 as Dr. Russell. They were abstinent by means of the carbon  
22 monoxide value and I regarded them as nonsmokers, but some had  
23 had occasional cigarettes, and even in my clinic they could  
24 have an occasional cigarette.

25           DR. LEBER: I think what we were trying to get at

2024952211

1 is how you declared winners, not so much how you declared losers.  
2 In other words, could someone who entered with a three-pack-a-day  
3 habit go to one pack a day and be declared a winner?

4 DR. FAGERSTROM: No. No, never.

5 DR. LEBER: What was the minimum amount of smoking  
6 that you would allow that would still allow a patient to be  
7 declared a winner at any particular time point?

8 DR. FAGERSTROM: I applied a criteria that they  
9 should, with verbal statement, at least not have smoked anything  
10 at all 14 days prior to the carbon monoxide test, and since  
11 I had personal contact with these subjects during the whole  
12 period, I knew fairly well their kind of relapses, if they  
13 were long or short and how intense, and so on.

14 I think this is a very serious matter for short  
15 follow-up points, but when we go so long as six and 12 months,  
16 smokers don't relapse and quit again, relapse and quit again.  
17 But they may do so for a couple of weeks.

18 DR. COHEN: Thank you very much. It is a pleasure  
19 to have you here.

20 I think this is time for Dr. Dassler to present the  
21 view of the FDA Drug Abuse staff.

22 PRESENTATION OF DR. DASSLER

23 DR. DASSLER: I have only to make a few remarks, since  
24 I evaluated the safety data of the two studies, two new studies  
25 submitted, and several of the things I am mentioning have

1 already been mentioned, but just to be complete and be on the  
2 record, there is, of course, no doubt that smoking behavior is  
3 a risk to public health and there is also little question that  
4 nicotine intake, regardless of the route, causes many pharmaco-  
5 logical effects and many of these are known to be harmful.

6 We also expect that Nicorette gum is likely to be  
7 highly promoted and widely used, so, therefore, any assessment  
8 of the risk-to-benefit of Nicorette must consider two factors.  
9 The first one, whether or not the gum is effective and then,  
10 second, how safe is the gum when used as prescribed.

11 Seen from this public health perspective, the first  
12 factor has been presented to you by Dr. Vocci in the affirma-  
13 tive. As to the second factor, I have the following comments.  
14 Nicorette gum, as used in the two studies, contained 2 milli-  
15 grams of nicotine bound to an exchange resin and is released  
16 only during chewing.

17 Pharmacokinetic study results provided to us by the  
18 sponsor showed that blood levels of nicotine obtained with the  
19 Nicorette gum, with the 2-milligram Nicorette gum, were half  
20 those obtained from smoking a cigarette, and the pronounced  
21 early peak in nicotine blood levels seen with the inhalation  
22 of cigarette smoke was not observed with chewing of the  
23 Nicorette gum.

24 Nicorette gum is an adjunct to smoking-cessation  
25 programs under medical supervision for short-term use in

1 normal heavy smokers that primarily has its advantage by  
2 removing the exposure to carbon monoxide tars and various  
3 noxious agents contained in cigarette smoke, but leaving  
4 exposure to nicotine, a known potentially harmful substance.

5           Therefore, I would consider Nicorette contraindicated  
6 in nonsmokers. There have been no studies demonstrating the  
7 safety of Nicorette in disadvantaged smokers such as subjects  
8 with known or suspected coronary heart disease, including  
9 myocardial infarction and/or angina pectoris, or in patients  
10 with vasospastic disease states, just to mention a few of  
11 these subjects at special risk.

12           Therefore, no judgment could be provided as to the  
13 safety of Nicorette in smokers with systemic disease. On the  
14 other hand, the side effects observed and reported by the  
15 investigators of the two studies under discussion in the  
16 target population of the 324 healthy smokers, half of whom  
17 smoked the 2-milligram Nicorette gum, consisted mainly of  
18 local effects within the oral cavity and soreness of the jaw  
19 from the chewing and of gastrointestinal symptoms, including,  
20 as we heard now, vomiting and hiccups, none serious enough to  
21 require discontinuance of the use of Nicorette.

22           With the exception of the incidence of hiccups  
23 which were reported more frequently with Nicorette when  
24 compared to the placebo gum, there were no significant  
25 differences. Little information is available on long-term

1 safety or on Nicorette's potential to cause dependency.

2 Also, the results Dr. Christen told us on the oral  
3 pathology testing we have not had a chance to review and so  
4 we have not completed this aspect.

5 In conclusion, the substitution of Nicorette chewing  
6 gum for cigarette smoking is expected to eliminate the elements  
7 of carbon monoxide and tar inhalation with their attendant  
8 pulmonary and circulatory effects, while leaving the systemic  
9 effects of reduced amounts of nicotine.

10 Considering the overall benefit-to-risk ratio for  
11 the 2-milligram nicotine-containing buffered chewing gum as  
12 a source of nicotine for a limited time, up to three months, as  
13 an adjunct to smoking cessation programs in healthy heavy  
14 smokers, I consider it acceptable.

15 DR. COHEN: Thank you. We want to hear now from  
16 the Committee discussants. Dr. Jasinski?

17 PRESENTATION OF DR. JASINSKI

18 DR. JASINSKI: As a discussant, let me tell you where  
19 we are particularly coming from. As the scientific director  
20 of an intramural laboratory in the National Institute on Drug  
21 Abuse, our mission is to study the causes, treatment, pre-  
22 vention of substance abuse.

23 About four years ago, the National Institute of  
24 Drug Abuse took the position of trying to be the lead agency  
25 in investigating tobacco dependence, and this came about for a

1 number of reasons, so that we started a particular program as  
2 part of the Institute.

3 Can you flip on the first slide.

4 The purpose of these studies was to investigate --  
5 I want to go through this, because I think it is important  
6 to the discussion, because I think there are some unresolved  
7 issues that are at least alluded to -- and investigate the  
8 mechanisms underlying compulsive tobacco use.

9 Our hypothesis, to begin with, is that nicotine  
10 is a dependence-producing substance, and this is somewhat  
11 important, because when you start talking about tobacco-  
12 smoking or cigarette-smoking, there are certain biases which  
13 are social biases that tobacco is somewhat different and is  
14 not a dependence-producing substance.

15 Our basis for this was to compare tobacco, which  
16 contains nicotine, versus opium, and cocaine and alcoholic  
17 beverages, and cannabis, each of which contains a substance  
18 which has certain properties, and it was hard for us to see  
19 that God would make tobacco somewhat different from other  
20 substances which people ingested compulsively.

21 (Laughter)

22 Part of this is that in terms of looking at this  
23 as a dependence-producing drug where there is this particular  
24 confusion, is on the relationship of what are some of the  
25 behavioral effects in the relationship?

1 From the study of other dependence processes, the  
2 pharmacological effects are necessary, but are not sufficient.  
3 The whole process of addiction involves a process of learning,  
4 as my friend Dr. Balster will tell us, environmental factors,  
5 and biological factors which may predispose, and there is this  
6 complex interaction when one looks at this.

7 However, if one is interested in developing therapy  
8 and using the model of narcotics and other substances where  
9 we have made this in developing rational therapy, it has been  
10 basically pharmacologically based in chemotherapy.

11 (Slide)

12 If one looks at the characteristics of dependence-  
13 producing drugs, one is they are psychoactive, and by this I  
14 define it when given versus placebo under various circumstances  
15 they have the ability to alter mood, feeling states, thinking,  
16 and perception.

17 Two, they have the particular ability, at least in  
18 our population and addict populations, to act as euphorants,  
19 and by this I mean produce feelings of well-being and people  
20 like the effects of the drug better than placebo or some other  
21 drugs. There are particular states which are reproducible.

22 Thirdly, in models they serve as positive rein-  
23 forcings and some, but not all, produce tolerance and physiologic  
24 dependence.

25 DR. COHEN: Give me an example of one.

1 DR. JASINSKI: LSD.

2 DR. COHEN: That produces enormous tolerance.

3 DR. JASINSKI: But not physical dependence.

4 DR. COHEN: Oh, yes, of course.

5 DR. JASINSKI: Now, we have conducted large numbers  
6 of studies in human volunteers and also in animals, and these  
7 are my colleagues: for the animals, Dr. Steve Goldberg and  
8 Dr. Jack Henningfield for the human studies.

9 This is just a basic summary and these have been  
10 conducted on a research ward, a closed research ward, using  
11 the paradigm we have had for many years, and we basically  
12 looked at the abuse potential of nicotine as a dependence-  
13 producing drug.

14 Now, we have studied this both intravenously, by  
15 giving boluses of nicotine and by smoking, and these are  
16 basically the summary and conclusions which are important.  
17 Delivered intravenously or inhaled, it is psychoactive and  
18 physiologically active; that is, people can tell it from  
19 placebo and they can discriminate the content of cigarettes  
20 when you smoke them under certain characteristic ways, and  
21 they can discriminate among different nicotine contents of  
22 cigarettes and they can discriminate among boluses of saline  
23 and various doses of nicotine given intravenously. It is a  
24 euphoriant, and by this I mean that the people like the  
25 effects of nicotine given intravenously, the group responses

1 show increasing rates on liking scores.

2 Most importantly, we get many of the scales which we  
3 validated with cocaine and morphine and the amphetamines. When  
4 you take people and abstain them overnight and give them  
5 boluses of nicotine, you can produce euphoric responses which  
6 are similar.

7 If you ask people to identify what the effects of  
8 nicotine are given in intravenous boluses, they say it is very  
9 close to cocaine, that it is cocaine-like.

10 Now, the other experiment is, it can serve as a  
11 positive reinforcer. We did large numbers of subjects and  
12 experiments. It is a simple paradigm, essentially have  
13 cigarette smokers abstain from smoking and we put them into a  
14 chamber and we put in an intravenous line which is gravity-fed  
15 and going into the intravenous line are two infusion pumps.

16 One has saline and one has various doses of nicotine  
17 and these are hooked to two levers and they have access and  
18 when they press the lever 10 times, they get an infusion of  
19 nicotine. The question is, will people take intravenous  
20 nicotine in preference to saline offered alternatively when  
21 they are abstaining from cigarettes and, yes, they do.

22 We published this. Then we get very high reinforcing  
23 rates and the pattern of taking is very similar to that seen  
24 with animals taking cocaine under similar paradigms, or if  
25 you watch people smoking cigarettes, it is about the same rate

1 of smoking cigarettes. It is also dose-dependent. You get  
2 the very nice curves very much like the animal experiments.  
3 It goes up and, as you increase the dose, it goes down.  
4 We have met all of the criteria for defining a positive re-  
5 inforcer for our friends in behavioral pharmacology -- I won't  
6 go through that, all of this has been published.

7 (Slide)

8 If you give a larger dose of nicotine in some  
9 subjects, or if you increase the dose very rapidly, in other  
10 words, give them access very rapidly to large doses, it is  
11 also a dysphoriant, that is, it produces aversive effects,  
12 and can serve as a negative reinforcer, that is, it can suppress  
13 behavior.

14 This is not different from other substances of  
15 abuse. All substances of abuse do this, if you take too much  
16 or too little and it is within a particular dose range.

17 The fourth point that is important, and this gets  
18 to be somewhat confusing and it will be important for the  
19 discussion to come, if one gives boluses of nicotine or watches  
20 somebody smoke, nicotine has very profound effects, but they  
21 are short-lived.

22 By this I mean that the most profound effects are  
23 within 45 seconds after the bolus or within the first minute  
24 after smoking or puffing on cigarettes. There is predominantly  
25 an increase in blood pressure, there is a pupillary dilation

1 which lasts for 45 seconds, then followed by a longer lasting  
2 pupillary constriction. There is usually a tachycardia. With  
3 a very large dose of nicotine, one, or puffing very rapidly  
4 on a cigarette, one can get a bradycardia. The autonomic  
5 effects are quite complex.

6           There are also different pharmacodynamic half-lives.  
7 For example, if one measures -- with the blood levels, if one  
8 measures the skin temperature effects, there is a hysteresis.  
9 By this I mean that the skin temperature effects do not  
10 correspond in time with the subjective effects or the euphoriant  
11 effects.

12           They come on much more slowly and last much longer.  
13 I won't go into this, but we have shown that mecamlamine  
14 blocks the responses and attenuates the subjective effects  
15 and euphoric effects and alters self-administration behavior.

16           Now, we have done nicotine delivered i.v. and  
17 buccally and by inhalation. We show that it decreases reported  
18 desire to smoke and a rate of cigarette smoking, and on the  
19 basis of this we concluded that nicotine is a dependence-  
20 producing substance which is similar to prototypic substances  
21 of abuse.

22           I would like to discuss the gum from this particular  
23 perspective.

24           (Slide)

25           This is a poor slide -- it is a new process and it

1 didn't work too well. We have done some experimental paradigms  
2 and, I am sorry, this is from another presentation. The  
3 hypothesis is that nicotine should substitute and decrease  
4 smoking behavior.

5 We have evidence from other studies that we did that  
6 after people would inject intravenous nicotine in the self-  
7 administration paradigms where we give it to them, that their  
8 smoking behavior would decrease, and we have done it in two  
9 populations.

10 One is in our post-addict population who are paid  
11 volunteers to study drugs, who have no interest in quitting  
12 smoking. They are just there and they reside on the research  
13 wards, an in-patient controlled access research ward. The  
14 other were out-patient female hospital employees.

15 I will just give you -- this is from a presentation  
16 I gave about a week ago down at the Committee on the Problems  
17 of Drug Dependence -- and these people on the ward chewed  
18 Nicorette chewing gum in two doses and placebo. The doses  
19 were the 2 or 4 milligrams and placebo and these were daily,  
20 and these were given in seven doses at two-hour intervals, and  
21 each dose in placebo was replicated three times each.

22 The measures were taken all day in a controlled  
23 ward and, in fact, under this condition -- I am not going to  
24 present all the data, just to show you that if one looks at  
25 all of the measures which we had, and they all were in

1 particular concordance, one can reduce the smoking behavior  
2 in this group who is not motivated to quit smoking when one  
3 particularly compares this.

4 One does not, even with this, however, abolish  
5 smoking; one reduces the smoking. This is not very different  
6 from what people have shown with drugs such as methadone in  
7 animals which are, for example, self-administering narcotics.

8 One can suppress the behavior, depending upon the  
9 dose, but one cannot usually abolish the behavior. I think  
10 that is somewhat important in many of these considerations.

11 (Slide)

12 The conclusions after all of our studies is nicotine  
13 administration suppresses cigarette smoking behavior.  
14 Nicorette chewing gum appears to be efficacious in delivering  
15 nicotine. Now, we found that from the nicotine chewing gum  
16 that it has a nicotine-like side effects which in some subjects  
17 may limit its utility, because they just won't like it and  
18 won't chew it, and they will go back to cigarettes. Basically,  
19 it is the nausea and the unpleasant taste -- the stuff tastes  
20 pretty bad.

21 Can you turn off the slides and put the lights on.

22 The other issue is a question which was raised in  
23 that letter which we address, coming from our particular  
24 perspective, is the abuse potential of this stuff. We have  
25 attempted to do this, and that is, we have attempted to study

1 it in the same paradigm as we studied the intravenous and  
2 the smoking of the nicotine.

3 Here we were also faced with the placebo issue. One  
4 issue which has not been addressed, which we have had to  
5 address in this, in the particular question, if you listen to  
6 the gum, the rate of release of nicotine is compression-  
7 dependent, so that if you chew the gum faster, you get nicotine  
8 out faster than if you chew is slower.

9 So, we have done a controlled experiment and we have  
10 had people chew the gum for 10 minutes; however, we have had  
11 this done under a nurse-observer in controlled circumstances  
12 with a timer, where this a crossover study where they chewed  
13 at one-second intervals, and two-second intervals, and four-  
14 second intervals, and eight-second intervals -- again,  
15 because many times the blood levels don't help, because the  
16 question is whether you can get this bolus effect if you chew  
17 rapidly.

18 Briefly, even though one can chew at one-per-second,  
19 one shows a greater degree of effects chewing at every eight(sic)  
20 seconds, but in contrast to the intravenous or the inhaled,  
21 one, we cannot, even at this rapid rate, show a euphoric  
22 response.

23 What we basically see is essentially a slight degree  
24 of effects, which are in the same trend, but they are not as  
25 great as with the intravenous, and we tend to get an

1 accumulation more of what we see, the dysphoric effects, with  
2 -- rather than the euphoric effects. We could not get the  
3 quick release with chewing the gum through the buccal absorption,  
4 even chewing at one per second.

5           However, it is psychoactive. Subjects can discrimi-  
6 nate, and they can report a greater effect at one-second than  
7 at the eight-second intervals, and there are slightly greater  
8 effects, but we cannot get the same jolt that you get from  
9 the intravenous or the cigarette. Thank you.

10           DR. COHEN: Thank you. Reese Jones?

11                           PRESENTATION OF DR. JONES

12           DR. JONES: It is hard to follow a set of slides  
13 like that. We have been looking at nicotine in our laboratory  
14 for five years, even before NIDA decided to support such work,  
15 which sometimes leads a lot of people to start doing nicotine  
16 studies, or whatever.

17           I can't add much to what Donald said. We find  
18 surprisingly similar sorts of things and it poses some  
19 interesting sort of conceptual, almost philosophical, issues  
20 in terms of dealing with something like Nicorette and nicotine  
21 gum, in that nicotine clearly is a drug of addiction, it is a  
22 very potent drug.

23           I don't have a slide, but if you give nicotine to  
24 nonsmokers intravenously, the threshold dose is somewhere  
25 around 1 microgram per kilogram i.v. You know, it is getting

1 in the range of LSD and other drugs that we think of as being  
2 incredibly potent drugs. I will bet you it could even be in  
3 the range of LSD.

4 So, the smoker is someone who has a fantastic level  
5 of tolerance, if that is true. That becomes an issue, and I  
6 would rather just make a few points about the data at hand  
7 here, rather than the research data, which will only confuse  
8 some people more.

9 But that tolerance issue raises at least one point  
10 that troubles me, that I think we need to consider -- the FDA  
11 has already considered -- what do you really need for a  
12 placebo? Should it be a real inactive placebo? Is any placebo  
13 inactive in this day of endorphins and enkephalins? Or should  
14 it be a placebo with a little bit of nicotine in it? How much  
15 nicotine should be in it? And since we don't know the bio-  
16 availability at that dose at those levels in the unbuffered  
17 form, really, I think Dr. Russell's data are reassuring, but  
18 they are certainly not the sort of data I think we would demand  
19 if this were almost any other drug, treating almost anything  
20 other than tobacco dependence.

21 The fact that tolerance develops so rapidly and so  
22 profoundly, it may well be that inactive placebo is inactive  
23 for the first week or so of treatment, as the tobacco smoker  
24 loses tolerance from the decrease in the tobacco smoking.  
25 Perhaps there is enough sensitivity that develops to that

1 dose that it is no longer a placebo, and I do have some  
2 continuing concerns about sort of a trade off between aversive  
3 and reinforcing qualities, depending on as the sensitivity  
4 changes.

5 Our group has been looking at tobacco dependence in  
6 treatment programs for some years. That leads me to be  
7 increasingly concerned about the short-term assessments of  
8 efficacy. Nicorette clearly, no question about it, looks good  
9 at one month, better than other treatments that have been  
10 studied in comparison to it, better than whichever placebo  
11 we are considering.

12 But is one month an adequate assessment period? I  
13 am not so sure. But if we were considering a new anorexic  
14 agent and the efficacy data mainly made it look good at one  
15 month in terms of weight control, I don't think we would be  
16 terribly impressed, and this is very much the case with the  
17 treatment of tobacco dependence.

18 There are lots of things that look good at one  
19 month, ranging from acupuncture to hypnosis to most any sort  
20 of mumbo-jumbo you want to put the tobacco addict through,  
21 but they all look very good and better than no treatment at all.

22 What is an adequate difference? We have already  
23 heard different groups in different countries, presumably for  
24 all sorts of different sociological and pharmacological and  
25 cultural reasons have different sort of base-line rates for

1 no treatment versus various treatments.

2 It makes it even more necessary to consider whatever  
3 data one is considering and comparisons, whatever one is making,  
4 draw it from the same data pool. I am not at all certain that  
5 smokers in England are anywhere like the smokers in Indianapolis  
6 or the smokers in San Francisco in all respects -- I am quite  
7 sure they are different in many respects.

8 The one thing that puzzles me in the material we  
9 were given as a Committee to review is if 1.4 million people  
10 throughout the world indeed have been given Nicorette, why  
11 are there so little data presented in terms of its effects in  
12 special groups, especially in special groups that it is going  
13 to be prescribed for?

14 And here I refer to people with a variety of cardio-  
15 vascular diseases, pulmonary disease, pregnancy, adolescence,  
16 a number of -- I won't go through the special considerations  
17 in such special groups, but many of them are obvious and  
18 important.

19 Maybe it is not the custom when an NDA is approved  
20 with a new drug to worry much about that and say, well, the  
21 data will be accumulated. The problem is, Nicorette is not  
22 a new drug, if we consider that 1.4 million patient experience  
23 But I am getting a mixed message in terms of whether we should  
24 consider that or not.

25 Given the very limited charge to our Committee, and

2024952228

1 it is stated a couple of different places, but most succinctly,  
2 I think, it is under Tab R, that what we are being asked to  
3 consider is can one conclude that Nicorette increases the  
4 likelihood of smoking cessation among participants in  
5 behavioral modification programs?

6 This term, "behavioral modification programs," is  
7 used slightly differently in a few different places in the  
8 material, ranging from adjunctive treatment to psychological  
9 treatment, et cetera. Well, the answer to that very limited  
10 question, if we are talking about the short-term treatment,  
11 is, yes, probably.

12 But there are these other considerations that I  
13 don't see alluded to in the material that we received, parti-  
14 cularly the issue of special groups and risk versus benefit  
15 and efficacy. If this advertisement that we were sent by  
16 the DOC organization, which I gather is an advertisement from  
17 a Canadian medical journal, is typical, it exemplifies some  
18 of the concerns I have of introducing a drug at the state  
19 Nicorette seems to be at.

20 It says it is going to be an aid that will help, but  
21 it doesn't give -- now, maybe there is a package insert  
22 disclaimer along with this ad that gives the busy physician  
23 some guidance as to who and what should provide the help.

24 It sort of lumps cardiovascular patients all together  
25 and says, implies Nicorette is a useful help for this. Does

1 this include people with vasospastic disease? I think people  
2 with such diseases can have a lot of problems with Nicorette.  
3 Should this be considered in terms of data provided for an  
4 NDA? I don't know.

5 It talks about impressive success rates. I don't  
6 think we would be having this meeting if all of us were as  
7 impressed with the success rates as this ad says they are.  
8 I wish I hadn't seen this, really, because I felt a little bit  
9 differently before I saw it.

10 But this sort of raises the spectre of what should  
11 the process we are doing now provide in terms of making some-  
12 thing a little bit more explicit and helpful than this? Thank  
13 you.

#### 14 COMMITTEE DISCUSSION

15 DR. COHEN: Thank you, Reese. On the other hand,  
16 Reese, consider the alternative, that is, continued smoking  
17 versus Nicorettes.

18 DR. JONES: If the alternative is one month or even  
19 six months continued smoking versus Nicorette, I don't think  
20 that is much of a concern to me. If it is a question of  
21 smoking or not smoking, no question; I am willing to accept  
22 all sorts of toxicity for the treatment.

23 But I am not sure that is the data that we have here.

24 DR. LEBER: I think it is important that I frame  
25 this question for you, again, because I think, as Ed Tocus

1 suggested, this Committee is not used to dealing with efficacy  
2 matters that come before the Food and Drug Administration.  
3 The law, in the first place, does not set out very clearly  
4 what is meant by efficacy. It really says evidence of  
5 efficacy that will allow experts to conclude, on the basis of  
6 evidence from these controlled trials, including clinical  
7 investigations, and to conclude fairly.

8           The magnitude of the treatment effect is not one  
9 that we have ever solved. No one ever specified how much  
10 effect a drug must have. The conditions that lack treatment,  
11 the argument is often as long as a drug can help someone out  
12 there, that was the intent of the Congress in the efficacy  
13 requirement in the '62 amendments.

14           It doesn't have to be like an OTC product where a  
15 substantial proportion of the individuals have to experience  
16 the effect in the labeling, only that the drug is effective  
17 enough to produce a change in the right direction which is not  
18 due to chance and which can be accounted for by the drug,  
19 by whatever mechanism that drug works.'

20           In other words, a lot of the discussion today that  
21 has been dealt with deals with the rationale, the, if you will,  
22 explanatory pathophysiology, the mechanism of how the drug  
23 might work. Actually, if we didn't know how this drug  
24 worked, it wouldn't make any difference. That may help you  
25 in making a decision, but the basic question is, can you

1 conclude from the evidence presented that the drug will have  
2 the effect it is alleged to have under the conditions of  
3 labeling and purported use?

4           There are all sorts of concerns that everyone gets  
5 into in answering that question, which is part of your role as  
6 an expert, of saying not how the drug will actually be used --  
7 that is an issue always beyond us. How things are actually  
8 used is not really an issue here; it is something you have to  
9 consider, but not in a direct way. I want to make that point.

10           Another issue, do we, in a typical NDA drug, look at  
11 every special disadvantaged population? No. It is impossible  
12 to do so before marketing. If we did, we would have drug lag  
13 that would be unbelievable. A lot of what is learned about a  
14 drug is often learned because the drug is studied in a popula-  
15 tion where the drug is going to be used.

16           What is so exceptional and different about this,  
17 which I think Dr. Jones recognizes as well, is that we are not  
18 dealing with the traditional model of a drug. We are dealing  
19 with a model of a substitution of something people are already  
20 getting for something in an effort to reduce an alternative  
21 source of the same substance, and to provide it, if you will,  
22 even for a short period of time, in a vehicle or format that  
23 we think is slightly less toxic.

24           I don't think the model of anorectic drugs is  
25 exactly the same, even though it has some parallelism. The

1 difference would be that most people who are obese, trying  
2 to lose weight and have a high degree of recidivism, are not  
3 taking flurfenamine (phonetic) or amphetamines all the time,  
4 and when they do take them, they take them for a short time  
5 which has short-term effects that may not be good, and then  
6 they may lose some weight and become recidivistic.

7           Here the patients involved, the people involved, they  
8 are already taking the nicotine and they are taking the nico-  
9 tine plus something else, so that applying the standard that  
10 we use, that you don't have to be totally efficacious, that  
11 you don't have to have some minimum degree of efficacy, that  
12 the risk-benefit seems to be, on base grounds, reasonable,  
13 given fairly restrictive labeling, and that is the position  
14 we have taken, and I think it is partly the position that we  
15 would like you to address this in.

16           There are much broader societal issues, and I am not  
17 trying to suborn your testimony. If you were to conclude that  
18 this is a horror for the public health, by all means say so  
19 and tell us not to proceed, because we don't want to make any  
20 mistakes.

21           DR. COHEN: Thank you. Before Dr. Paul makes his  
22 remarks, I would like to ask the Committee whether they would  
23 like to break for lunch or continue the discussion and close  
24 the meeting.

25           DR. GOODWIN: Continue.

1 DR. COHEN: Thank you. It is unanimous.

2 (Laughter)

3 DR. PAUL: Paul answered my question. I mean, I  
4 think we should be able to get some data, as Dr. Jones said,  
5 about those 1.4 million people. There is one thing worse than  
6 -- I mean, it is clear if you have the alternative between  
7 smoking or abstaining, I think it is obviously much better to  
8 abstain, and certainly the alternative is bad.

9 As the case with benzodiazepines and many of these  
10 drugs, you end up getting a population of patients that are  
11 taking both. The question I would have, is there any chance  
12 that people could actually increase their nicotine consumption  
13 and maybe make things worse, you know, outside this very  
14 limited sort of indication? I don't know if there are any  
15 data from those 1.4 million people, whether you get a population  
16 like that.

17 DR. COHEN: Would the sponsor like to respond to  
18 that?

19 DR. OHYE: With reference to the 1.2 million that  
20 Dr. Martz referred to in his opening remarks, it is our  
21 intention to go to the sophisticated countries, for example,  
22 Canada, U.K., and Sweden, where they have adverse drug  
23 reaction reporting systems, and just prior to FDA's action on  
24 the drug seek a computer printout from these various sources,  
25 so that with reference to any blips that might be out there

1 we will certainly obtain those.

2           Incidentally, in the case of the CSM or the U.K. FDA,  
3 we asked for their cooperation just prior to this meeting  
4 and we were told, well, there really isn't anything there.  
5 It is not an important issue at this point for us to run our  
6 computers.

7           But we will ask for that information and with respect  
8 to any ongoing studies that we are sponsoring, we will gather  
9 up all data and submit them at the last moment. Now, with  
10 reference to your second question about these special groups,  
11 if in our discussions with the Food and Drug Administration  
12 they identify areas for Phase IV studies, as is the custom  
13 between FDA and the industry, we have agreed to perform studies  
14 as a condition, if you will, a condition subsequent to approval.

15           On your third question with reference to data on  
16 people who both chew and smoke at the same time, we do have  
17 some data on that, if you deem it important to show it on a  
18 slide, but, in summary, the data show that there are no major  
19 differences or spikes in the data with reference to people  
20 who titrate their nicotine levels by smoking or titrate nico-  
21 tine levels by chewing and smoking a bit, too.

22           DR. LEBER: I think I would like to do another point  
23 again, directed to Dr. Paul. I think there are perhaps  
24 1,200,000 people exposed to Nicorette throughout the world.  
25 The situation isn't so different with many other drugs we deal

1 with.

2           However, the question is, even with that presumed  
3 source of data available, what use can one make of it and how  
4 would one do it? We have enough problems, in a way. We are  
5 dealing with domestic inferences from fairly well-controlled  
6 data bases where people actually make a great effort to link  
7 events to drug exposure from automatic prescription lists.

8           It is very, very hard to assign, unless you have a  
9 denominator, what is going on. For example, let's assume that  
10 20 percent of the people who smoke and 20 percent of the people  
11 who used Bendectin had myocardial infarctions in a particular  
12 data-reporting system. How would you interpret that?

13           The problem always is denominator and the issue of  
14 compared to what, and I am not sure how we would make use of  
15 data from around the world, unless we found specific things.  
16 For example, let's define diversion, misuse, covert sales,  
17 theft, a higher rate of dying in people compared who are taking  
18 smoking within six weeks of myocardial infarction versus using  
19 Nicorette.

20           Those would be planned, almost epidemiologic studies  
21 rather than, I think, casual surveillance of --

22           DR. PAUL: Well, the beginnings of --

23           DR. LEBER: But that is not something we are likely  
24 to get before the fact in a realistic way. I am just trying  
25 to put limits on what our expectations are for foreign data,

1 that is all.

2 DR. JONES: I can see the problems with the  
3 surveillance data, but -- and you will have to inform me here,  
4 because I don't know about this efficacy business -- these are  
5 not normal, healthy people that this drug is going to be  
6 prescribed for. They are going to be people with varying  
7 degrees of cardiovascular disease and pulmonary disease and other  
8 diseases that bring them to the attention of the physician and  
9 the physician thinks that somehow tobacco is associated and  
10 will prescribe it.

11 Is it customary when a drug is going to be prescribed  
12 to non-normal healthy people with various diseases, take  
13 cardiovascular disease, to do at this stage of the process  
14 some experimentation? I don't see any good electrocardiographic  
15 data anywhere in the file.

16 The one study from the Forney group was good so far  
17 as it goes, but it didn't go very far. Since this is going to  
18 be given to people, and since we know from years of experience  
19 nicotine has acute cardiovascular effects, whether such data  
20 should be provided or, if not, why not.

21 DR. LEBER: Well, I guess the question I ask you is  
22 the logic of asking for such data. If this population that  
23 is using Nicorette had never taken nicotine before, what you  
24 say makes entire sense. I think even when we prepare a drug  
25 like an antidepressant or anxiolytic for marketing, we have

1 only limited Phase I and Phase II data, perhaps, on EKG  
2 performance, and the most cursory type of supervision, that  
3 really tells you what would happen if you went out and used  
4 somebody who had Mobitz-type block with this drug product.

5 We are just not going to know that. It is true,  
6 after the fact people begin to develop that kind of information.  
7 As a general thing, I think, drugs are developed with some  
8 understanding from clinical pharmacology of what effects they  
9 have on various organ systems and then you go into the popula-  
10 tion at risk.

11 The population at risk for depression certainly  
12 includes, for example, patients who have heart disease. A  
13 significant number of them are older men who are depressed and  
14 living alone, with multiple diseases, and yet the anti-  
15 depressant would be used.

16 We decry the lack of information, but we would not  
17 use that as a reason to keep the drug off the market. Now,  
18 what I see as the exception with Nicorette, and I would like  
19 to hear this argument, is that we are willing to waive all  
20 sorts of things we normally do with a drug product, because  
21 we think we understand the pharmacology of nicotine.

22 We think we know that nicotine is a cardiovascular  
23 poison, if you will, something that increases afterload, some-  
24 thing that is bad for you. So, what is the point of comparing  
25 Nicorette gum with cigarette smoke that is already providing

1 what we think, on the basis, say, of the studies that were  
2 presented earlier, 2 milligrams versus cigarette smoking, the  
3 same load?

4 DR. JONES: Well, I guess the only problem is that  
5 if people are dying of the nicotine they are getting in their  
6 cigarettes -- and I am not sure that nicotine in cigarettes  
7 is interacting with carbon monoxide, it is a complicated  
8 situation and maybe the nicotine is relatively nontoxic -- but  
9 if they are dying, say, they are developing arrhythmias and  
10 dying from smoking tobacco, does that justify marketing some-  
11 thing that does the same thing?

12 The logic of that somehow escapes me. Maybe I am  
13 missing some point there.

14 DR. PAUL: I am still concerned about how the drug  
15 is going to be used. You have sort of set these limitations  
16 about this drug is prescribed in smoking-cessation programs  
17 and with behavioral modification -- I mean, almost any drug  
18 prescribed doing that would have a markedly limited use  
19 potential relative to just without these kinds of limits, but  
20 I don't see anything in these advertisements to indicate that  
21 -- I mean, is that what the FDA is going to do or demand?

22 DR. LEBER: Again, this is partly -- let me start,  
23 first, with what is legal and what is advertising puffery,  
24 and what is American advertisements and what are non-American  
25 advertisements. I cannot speak for the journal editorship,

1 the type of advertisements that are accepted, and whether or  
2 not we would want advertising. Clearly we control one thing  
3 in the federal government, under our regulations we can  
4 control what the labeling of the drug product says, that is,  
5 professional package insert.

6 In theory -- I say in theory -- you are only allowed  
7 to advertise what we say about the drug product in the official  
8 labeling. In practice, it often appears that other things  
9 appear in labeling, sometimes with photographs, using  
10 photo-montage techniques to suggest the use of the drug  
11 product in a population that nobody has any data on.

12 Those things go on. That is life. And I don't know  
13 whether or not we will effectively regulate that as much as we  
14 would like to. But we are not discussing that here. I don't  
15 think -- that is a general class problem of advertising,  
16 advertising puffery, and the use of drugs beyond their  
17 labeling.

18 We even have an official FDA statement. It says  
19 even though we approve a drug for a given use, it is under-  
20 stood that the physician has the right to use this product  
21 any way he wants in his reasonable practice of medicine under  
22 the community in which he operates.

23 For example, there were a couple of letters to the  
24 editor saying that nicotine suppressed, or there seemed to be  
25 an association with less ulcerative colitis in people who

1 smoke a lot of cigarettes. What happens if some guy decides  
2 to take his ulcerative colitis patients and use Nicorette?  
3 That is perfectly reasonable, he can do that in the practice  
4 of medicine, because he has that information.

5 Let's assume that you get a foolish physician and  
6 he decides to put all his patients on Nicorette. The FDA's  
7 role is not to protect society from foolish physicians, and  
8 a lot of this has to do with the societal question of where  
9 you put the controls on the practice of medicine.

10 Are they going to be distally between the diad of  
11 the physician and the patient, or up here in Washington  
12 between ourselves and the release of the drug to the public.  
13 Those are such broad questions, that I tried to steer you away  
14 from them in the way I phrased today's question.

15 Now, clearly, the Committee doesn't want to stay  
16 away from that. The Committee has a very broad interest and  
17 I will, having said that, go back to your discussion, but  
18 I think we try to focus on the issue of in the evidence pre-  
19 sented to you, do you believe you can conclude something?

20 DR. PAUL: I guess most of us may not have much  
21 trouble with the way the thing is phrased, but have a lot of  
22 trouble with how the drug might be potentially used. I guess  
23 that doesn't bother you, for some reason.

24 DR. LEBER: Officially --

25 DR. JONES: It can't bother him.

1 (Laughter)

2 DR. VOCCI: Just to address one of Steve's questions.  
3 This NDA is unusual from several aspects. Usually you have  
4 pharmacology and toxicology data in preclinical studies which  
5 address some of the long-range toxicity issues. There are  
6 toxicity data which speak to some of the issues in the NDA,  
7 but it was essentially a literature survey.

8 So, what we decided to do was, we are going to time-  
9 limit the indication on the basis of the clinical studies and  
10 we will ask that people use the gum for no longer than three  
11 months -- I think this is what we have agreed to.

12 Again, and you have to keep going back to this, you  
13 are always talking about giving this to a smoker or someone who  
14 has quit smoking and is trying to stay off cigarettes. We  
15 see this, not in the classical sense of a drug, but in the  
16 sense of more of a methadone model, where you are doing a  
17 substitution procedure. I think this is the proper context.

18 DR. JASINSKI: Do you want another methadone?

19 DR. COHEN: You are out of order.

20 (Laughter)

21 DR. COHEN: Reese, did you still want to ask your  
22 little question?

23 DR. JONES: Well, it is a little point, but now Dr.  
24 Leber, I think, has convinced me, but a point that hasn't been  
25 made so far. Most smokers who want to stop smoking stop smoking

1 without any particular professional intervention, is my guess.  
2 I have seen good data on this and perhaps Dr. Russell or some-  
3 one else may have some data on how many smokers are able to  
4 stop without any intervention.

5           What the availability of this will do, the gum will  
6 do, is more incline people to resort to pharmacotherapy when  
7 odds are they don't need pharmacotherapy. Now, agreed, this  
8 is the problem of the physician and the patient, and I am now  
9 convinced it is something that cannot be regulated and it is  
10 not our province, but it is a consideration in terms of  
11 perhaps demanding the best evidence of efficacy that we can.

12           DR. COHEN: Dr. Jasinski?

13           DR. JASINSKI: I want to come back, and I would like  
14 to get out of here, too, but going back to your efficacy issue,  
15 I will tell you one thing, I am amazed that Nicorette looks  
16 so good, and I will tell you this on the basis of my  
17 experience looking at years of studies with neltrexone (phonetic)  
18 and methadone which, again, is a particular model.

19           First of all, what strikes me with regard to the  
20 efficacy issue, you have a drug which is active, which has a  
21 known pharmacology. Secondly, whether rightly or wrongly,  
22 and this is true for most drugs you introduce into therapeutics,  
23 which we use in therapeutics, the way they are eventually used  
24 may not do this, but you need at least a rational justification  
25 for this use, and there are enough basic science data which

1 gives a rationale for its use in medicine. I think that is a  
2 second issue in terms of efficacy.

3 The third issue, given the particular state-of-the-  
4 art of looking at suppressing what is essentially a behavior  
5 and measuring this in long-term studies -- I speak again for  
6 the methadone -- this drug looks awfully good, because I came  
7 expecting to see that there were two studies that didn't show  
8 anything and two studies that didn't do anything, and what is  
9 quite clear, apart from all the statistics, is that what  
10 appears to be the most significant factor across all the  
11 studies is the presence of the drug versus other factors.

12 I am amazed, because in the experience of looking at  
13 methadone and naltrexone, all of the other variables tend to  
14 negate the methadone and the naltrexone in terms of incidence  
15 of cures -- you know, different programs have a very marked  
16 difference in the drug, it isn't particularly effective.

17 Fourthly, with Dr. Jones I will point out that I  
18 suspect that in most of his practice he will use methadone  
19 at times to treat certain people who are using narcotics,  
20 recognizing that the toxicity of methadone may be equal to or  
21 greater than heroin, but hopefully to achieve certain issues  
22 within this.

23 And I suspect that the role of this -- we are not  
24 talking about this type of efficacy -- and I will suspect  
25 that, very much like this, he and I and most of us who treat

1 drug abusers, would be using this drug for heavy cigarette  
2 smokers in this manner, to attempt to detoxify people or at  
3 least to suppress some of their behavior.

4 DR. COHEN: Dr. Goodwin, you have been unusually  
5 silent.

6 (Laughter)

7 DR. GOODWIN: I want to move that, considering the  
8 evidence presented, one can conclude that Nicorette<sup>R</sup> gum  
9 increases the likelihood of smoking cessation among participants  
10 in behavior modification programs.

11 DR. NUIT: I second that.

12 DR. COHEN: It has been seconded. Further discussion  
13 is allowed at this point, but only to the motion.

14 DR. BALSTER: I would like to just make a comment  
15 for the Committee and to the FDA and bring to their attention  
16 some additional support for that motion that Dr. Goodwin has  
17 made, and that is that I, being a laboratory scientist, I was  
18 impressed with direct laboratory studies showing that a mani-  
19 pulation can affect smoking behavior.

20 I am thinking particularly of the study that Don  
21 just showed us of some data where Nicorette gum, namely,  
22 4 milligrams in his particular case, could affect smoking  
23 behavior when it is given in a preload in a situation which  
24 is not a smoking cessation program.

25 There are many studies -- in fact, Dr. Russell is a

1 leader in this field -- and there are probably a good half-  
2 dozen or dozen studies in which attempts to look at the role  
3 of nicotine preloading in affecting cigarette smoking behavior  
4 have been carried out. My impression of these studies is, in  
5 general, they support the notion that giving nicotine by some  
6 preload or some other route of administration does, even in a  
7 nonsmoking-cessation situation, alter cigarette smoking  
8 behavior.

9 I am looking at a paper by Koslowski (phonetic),  
10 Murray Jarvik, and Ella Gritz in the January, 1975 Clinical  
11 Pharmacology and Therapeutics where, again, they fooled people  
12 into thinking they were doing some kind of mouth-muscle test  
13 and had them chew something for an EMG, but really what they  
14 were doing was slipping nicotine into them and then laying  
15 some cigarettes around and looking to latency to smoke and,  
16 sure enough, the latency to pick up a cigarette when they got  
17 a dose of nicotine was longer.

18 These kinds of studies are particularly convincing  
19 to me that it is the nicotine in the Nicorette gum that is  
20 responsible for what is going on in these elegant and long-  
21 term double-blind studies.

22 DR. BALTER: To the motion I have one residual  
23 problem. This is supposedly adjunctive therapy in motivated  
24 people who came to stop smoking. I am a little bit bothered  
25 by the behavior modification and I hope we don't reify a

1 particular kind of therapy. I don't think we have the kind of  
2 data before us that would say if you are not practicing  
3 behavioral modification, there is no indication for this  
4 Nicorette gum.

5 DR. GOODWIN: I would accept an amendment to the  
6 motion that you would delete the term "behavior modification"  
7 and substitute the term "smoking-cessation program."

8 DR. JONES: How about acceptable adjunctive therapies,  
9 or something as broad as possible, because I don't think  
10 anybody has --

11 DR. GOODWIN: Yes, something broader than behavior  
12 modification. Can you word that?

13 DR. BALTER: Well, we are talking about psychosocial  
14 at the moment. You don't mean another drug. Some effective  
15 psychosocial therapy.

16 DR. GOODWIN: Counseling.

17 DR. COHEN: Are you ready to vote on the motion?

18 (No response)

19 Apparently you are. Those in favor of the motion  
20 as amended to broaden the scope of the type of therapy with  
21 which Nicorette is supposed to be used, please raise your  
22 hands.

23 (Show of hands)

24 Those opposed?

25 (No response)

1 Those non-voting?

2 (No response)

3 It is carried. Please keep your seats.

4 (Laughter)

5 The motion is carried, 10, 0, 0.

6 I would like to ask someone to make a motion regard-  
7 ing either that this drug should be scheduled or should be  
8 unscheduled.

9 DR. GOODWIN: I move it should be nonscheduled.

10 DR. NUIT: Second.

11 DR. COHEN: Any discussion? Those in favor of --

12 DR. JONES: Wait, wait, wait. This formulation I  
13 have no problems with. I am not sure if when someone brings  
14 out the aerosol that you spray in your throat that I would go  
15 for that.

16 DR. NUIT: We already have that. It is smoking.

17 (Laughter)

18 DR. JONES: I am quite comfortable with the gum  
19 being nonscheduled. I am not quite --

20 DR. GOODWIN: I move the gum be nonscheduled.

21 DR. TOCUS: We have been talking about reinforcing  
22 substance and we are talking about a substance that has, as  
23 you just said, has a reinforcing and an abuse liability, and as  
24 such we must address, before we can deal with a new drug  
25 application approval, the question of whether we would

1 recommend control or not, and that is what we are trying to  
2 do here.

3 DR. VOCCI: One of the things that hasn't been brought  
4 out is that this is going to be a prescription drug product.  
5 It hasn't been stated, but it is. We consider prescriptions  
6 a form of control.

7 DR. COHEN: No, it would be in scheduling --

8 DR. VOCCI: I know, but this is something that you  
9 were asking about, a little bit of drug abuse philosophy --

10 DR. JASINSKI: Just for clarification, the FDA's  
11 position is that the drug does not require control and they  
12 are not proposing this for control. Is that --

13 DR. LEBER: The NDA (sic) does not have a position.

14 (Laughter)

15 The FDA. The FDA does not.

16 DR. COHEN: Was there any further discussion?

17 DR. BALSTER: This seems to me to be a bigger  
18 question than we should pass by with a five-minute kind of a  
19 thing. I am going to vote against that motion. I believe that  
20 nicotine as a pharmacological agent possesses some of the  
21 properties under which the Controlled Substances Act requires  
22 us to make scheduling decisions, and I am going to vote against  
23 this motion.

24 I didn't realize this was a question for discussion  
25 today. I think it would have taken a lot more discussion to

1 work through all the issues related here.

2 DR. COHEN: Apparently this is arousing more than  
3 a five-minute discussion.

4 DR. BALTER: Apropos of Reese's point earlier, if  
5 you look at prescription as a form of control, but not the one  
6 under discussion, the likelihood that it will only go to  
7 decrepit people is also not true, because then if this is  
8 advertised and it becomes clear it is effective, you may have  
9 young people going to physicians to get the thing. That is  
10 another point.

11 I didn't hear much data, except Reese's reference  
12 to naive -- take the model of the naive person who is exposed  
13 to the gum as opposed to the smoker who has a history of habit-  
14 reinforced as well as, possibly, dependence on the medication.  
15 We haven't heard much about what happens in naive people if  
16 they chew this gum.

17 I am not saying that I necessarily would vote against  
18 this motion on that basis, but this quickie is worrying me a  
19 little bit.

20 DR. GOODWIN: I view it as grandfathered. Tobacco  
21 and alcohol have been excluded in certain ways from FDA  
22 control. This is the nicotine in tobacco and, also, I think  
23 we were ill-prepared for this. I think if we debated it all  
24 morning, we would still vote noncontrol. But if we are going  
25 to have more than a five-minute discussion, I withdraw my

2024952250

1 suggestion that we don't have lunch.

2 (Laughter)

3 DR. LEBER: I would make another suggestions, and  
4 this is just a thought. Usually, when we come with official  
5 questions to you, we have had a good time to think about it  
6 and develop an in-house position on it and, very frankly, we  
7 did not come to discuss the issue of control today.

8 I think that in fairness to everyone, the people who  
9 were saying wait a minute, let's not jump, and I think it is  
10 always better to consider things -- remember, we always have  
11 the option to control anything any time we want to, if it  
12 turns out to be diverted and abused.

13 We will counsel among ourselves and perhaps independent  
14 with you outside of this forum, which we are allowed to do,  
15 try to get some sense of where things stand and, if need be,  
16 at our next meeting -- it may not be the same set that examines  
17 the issue -- but we would have to have a submission, we would  
18 have to have data, we have several points of analysis that  
19 would be necessary, and you would have to consider evidence.

20 Because, again, if you remember, the philosophy is  
21 you just don't do this from the top of your lip; in theory,  
22 you are supposed to be looking at evidence.

23 DR. GOODWIN: I withdraw my motion.

24 DR. COHEN: Do you withdraw your second?

25 DR. NUIT: Withdraw the second.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

DR. COHEN: Any other business?

(No response)

Is there a motion for adjournment?

DR. GOODWIN: Anybody going to National?

(Laughter)

DR. COHEN: Apparently the meeting has adjourned.

(Thereupon, at 12:40 p.m., the meeting was concluded.)

2024952252